

FIFRA SCIENTIFIC ADVISORY PANEL (SAP)

OPEN MEETING

FEDERAL INSECTICIDE, FUNGICIDE, AND

RODENTICIDE ACT

DOCKET NUMBER: EPA-HQ-OPP-2017-0214

FIFRA SAP WEBSITE <http://www.epa.gov/sap>

UNITED STATES ENVIRONMENTAL

PROTECTION AGENCY

CONFERENCE CENTER LOBBY LEVEL

ONE POTOMAC YARD (SOUTH BUILDING)

2777 SOUTH CRYSTAL DRIVE

ARLINGTON, VIRGINIA 22202

NOVEMBER 28 - 29, 2017

1                   **DR. TODD PETERSON:** Good morning. I'm  
2 Todd Peterson and I will be serving as the Designated  
3 Federal Official to the US EPA Federal Insecticide,  
4 Fungicide, and Rodenticide Act, the Scientific  
5 Advisory Panel, which we commonly say as FIFRA SAP,  
6 for this meeting.

7                   I want to thank Dr. McManaman, who is  
8 to my left here, for agreeing to serve as the Chair  
9 for the SAP for this meeting. I also want to thank  
10 both the members of the panel and of the public for  
11 attending this important meeting. We appreciate the  
12 time and effort of the committee members in preparing  
13 for this meeting, especially taking into account the  
14 holiday last week and your busy schedules.

15                   In addition, I want to thank EPA's  
16 Office of Pesticide Programs and my colleagues on the  
17 FIFRA SAP staff for their hard work in preparing for  
18 this important review of EPA's continuing development  
19 of alternative high-throughput screens to determine  
20 endocrine bioactivity focusing on androgen receptor,  
21 steroidogenesis, and thyroid pathways.

22                   By way of background, the FIFRA SAP is  
23 a Federal Advisory Committee that provides independent  
24 scientific peer review and advice to the Agency on

1 pesticides and pesticide related issues, regarding the  
2 impact of proposed regulatory actions on human health  
3 and the environment. The FIFRA SAP only provides  
4 advice and recommendations to EPA. Decision-making  
5 and implementation authority remains with the Agency.

6 The FIFRA SAP consists of seven  
7 members. The expertise of these members is augmented  
8 through the Food Quality Protection Act Science Review  
9 Board. The Science Review Board members serve as ad  
10 hoc temporary participants in FIFRA SAP activities,  
11 providing additional scientific expertise to assist in  
12 the reviews conducted by the panel.

13 As DFO for this meeting, I serve as the  
14 liaison between the FIFRA SAP and the Agency. I am  
15 also responsible for ensuring provisions of the  
16 Federal Advisory Committee Act are met.

17 The Federal Advisory Committee Act of  
18 1972 established a system that governs the creation,  
19 operation, and termination of Executive Branch  
20 advisory committees. The FIFRA SAP meetings are  
21 subject to all of FACA's requirements. These include  
22 having open meetings, timely public notice of the  
23 meetings, and document availability. The  
24 documentation for this meeting is provided via the

1 Office of Pesticide Programs' public docket, which is  
2 accessible through the web at [www.regulations.gov](http://www.regulations.gov).

3 As the Designated Federal Official, for  
4 this meeting, it is a critical responsibility to work  
5 with the appropriate Agency officials to ensure that  
6 all appropriate ethics regulations are satisfied. In  
7 that capacity, panel members receive training on the  
8 provisions of the federal conflict of interest laws.  
9 In addition, each participant has filled out a  
10 standard government financial disclosure report. This  
11 is a confidential report.

12 I, along with our Deputy Ethics Officer  
13 for the Office of Science Coordination and Policy, and  
14 in consultation with the Office of General Counsel,  
15 have reviewed these reports to ensure that all ethics  
16 requirements are met. A sample copy of this form, for  
17 those who are interested, is available on the FIFRA  
18 SAP website. The address for that on the website is  
19 [www.epa.gov/sap](http://www.epa.gov/sap).

20 The FIFRA SAP will review challenging  
21 scientific issues over the next three days. We have a  
22 very full agenda and the meeting times on your agenda  
23 are approximate. Thus, we may not keep to exact times  
24 as noted, due to panel discussions and public

1 comments. We strive to ensure adequate time for  
2 Agency presentations, public comments, and panel  
3 deliberations.

4 For presenters, panel members, and the  
5 public commenters, please identify yourselves and  
6 speak into the microphones when it's your turn to  
7 speak. The meeting is being webcasted and transcribed  
8 and recorded.

9 Copies of all EPA presentation  
10 materials and written public comments are available at  
11 the public docket, again at regulations.gov. Copies  
12 of presentation material submitted this week by public  
13 commenters will be available in the docket within the  
14 next week.

15 For members of the public that have not  
16 pre-registered for public comments, please notify  
17 either myself or another member of the FIFRA SAP staff  
18 if you are interested in making a comment. At this  
19 time the agenda is full. However, as we move through  
20 the proceedings, if time allows, we may be able to  
21 accommodate additional brief comments for five minutes  
22 or less.

23 As I mentioned previously, there is a  
24 public docket for this meeting. All background

1 materials and questions posed to the panel by the  
2 Agency and other documents related to the meeting are  
3 available at the docket. Some documents are also  
4 available on the EPA SAP website. Access to those  
5 materials requires the docket number. This is noted  
6 on the meeting agenda.

7 For members of the press, EPA media  
8 relations staff are available to answer your questions  
9 about this meeting. If you want to be referred to a  
10 point of contact for that, please ask me at any time  
11 during the meeting.

12 At the conclusion of the meeting, the  
13 FIFRA SAP will prepare a report as a response to  
14 questions posed by the Agency, background materials,  
15 presentations, and public comments. The report serves  
16 as our meeting minutes. We anticipate the meeting  
17 minutes will be completed in approximately 90 days  
18 after the conclusion of the meeting.

19 Again, I wish to thank the panel for  
20 your participation. I'm looking forward to both a  
21 challenging and interesting discussion over the next  
22 three days. I'd like to turn the meeting over to the  
23 chair.

1                   **DR. JAMES MCMANAMAN:** Good morning. I  
2                   guess I can be heard. Welcome to the FIFRA SAP  
3                   meeting, Continuing Development of Alternative  
4                   High-Throughput Screens to Determine Endocrine  
5                   Bioactivity Focusing on Androgen Receptor,  
6                   Steroidogenesis, and Thyroid Pathways. Clearly the  
7                   title reflects a large scope of possible interactions  
8                   that we will be discussing today.

9                   The way the meeting is organized is  
10                  that there will be presentations by the Agency,  
11                  followed by public commenters, and then we will turn  
12                  over to the charge questions. During the Agency's  
13                  presentation and the public commenters' presentation,  
14                  the panel is free to ask questions of clarification.  
15                  Following that, when we begin discussing the charge  
16                  questions, it will be a discussion amongst panel  
17                  members.

18                  As Todd mentioned, this is being  
19                  recorded, so if you forget to identify yourself as you  
20                  begin to speak into the microphone, I will remind you,  
21                  or maybe add your name myself, so that we can get  
22                  everybody's information clearly identified.

23                  I'm Jim McManaman. I forgot to  
24                  introduce myself. I'm a professor at the University

1 of Colorado in Reproductive Sciences and Integrated  
2 Physiology. My expertise is generally in obesity, and  
3 I'm a permanent panel member. With that as my  
4 introduction, I'll turn it over to other panel  
5 members.

6 **DR. DANA BARR:** I'm Dana Barr from  
7 Emory University. My expertise is in exposure science  
8 of maternal child health.

9 **DR. MARION EHRLICH:** I'm Marion Ehrlich.  
10 I'm from Virginia Tech and I'm in pharmacology and  
11 toxicology.

12 **DR. DAVID JETT:** I'm Dave Jett. I'm  
13 from the National Institutes of Health and my  
14 expertise is in pesticide toxicology.

15 **DR. SONYA SOBRIAN:** Good morning. I'm  
16 Sonya Sobrian from the Howard University College of  
17 Medicine, Department of Pharmacology. My expertise is  
18 developmental neurotoxicology.

19 **DR. SUSAN NAGEL:** Susan Nagel,  
20 University of Missouri, Department of OB/GYN and  
21 Women's Health. My expertise is in endocrine  
22 disruption and steroid hormone action.

23 **DR. THOMAS ZOELLER:** I'm Tom Zoeller.  
24 I'm at the University of Massachusetts in Amherst. I

1 work on molecular mechanisms of thyroid hormone action  
2 and chemicals that can interfere with that.

3 **DR. GRANT WELLER:** I'm Grant Weller.  
4 I'm a senior scientist at Savvysherpa. It's a  
5 healthcare research and development firm in  
6 Minneapolis, Minnesota. I'm a statistician.

7 **DR. KRISTI PULLEN FEDINICK:** Good  
8 morning. I'm Kristi Pullen Fedinick. I'm a scientist  
9 at the Natural Resources Defense Council in our Health  
10 and Environment Program. My expertise is in  
11 population health biochemistry and the application of  
12 computational tools for risk assessment.

13 **DR. EDWARD PERKINS:** Hi, my name is Ed  
14 Perkins. I'm with the U.S. Army Corps of Engineers.  
15 My background is toxicogenomics, ecotoxicology, and in  
16 vitro screening for toxicology.

17 **DR. REBECCA CLEWELL:** I'm Rebecca  
18 Clewell from ScitoVation, which is a small research  
19 lab in North Carolina. My background is in  
20 development of in vitro and in silico tools to assist  
21 safety assessment.

22 **DR. MICHAEL PENNELL:** I'm Michael  
23 Pennell. I'm an Associate Professor of Biostatistics

1 at Ohio State University. My expertise is in  
2 statistical methods and tox risk assessment.

3 **DR. IOANNIS ANDROULAKIS:** I am Ioannis  
4 Andreoulakis from Biomedical Engineering at Rutgers  
5 University. My expertise is in systems biology of  
6 inflammation and endocrine hormones.

7 **DR. SCOTT BELCHER:** I'm Scott Belcher.  
8 I'm a professor at North Carolina State University.  
9 I'm with the Center for Health and the Human  
10 Environment. I'm primarily an expert in nuclear  
11 hormone action and endocrine disruptors.

12 **DR. VERONICA BERROCAL:** I'm Veronica  
13 Berrocal from the Department of Biostatistics,  
14 University of Michigan. My expertise is in  
15 statistical matters for environmental exposure and  
16 environmental epidemiology.

17 **DR. J. DAVID FURLOW:** I'm David Furlow,  
18 Professor of Neurobiology, Physiology and Behavior at  
19 the University of California, Davis. My expertise is  
20 in thyroid hormone and steroid hormone action  
21 molecular mechanisms, especially in development.

22 **DR. JAMES MCMANAMAN:** Thank you and  
23 welcome to all the panel members. With that, I think

1 we'll turn it over to the Agency for the first  
2 presentation.

3 **DR. STANLEY BARONE:** Thank you, Dr.  
4 McManaman and Chair. I'm Stan Barone. I'm the Acting  
5 Director of the Office of Science Coordination Policy.  
6 I'm a developmental neurotoxicologist by training.  
7 I'm here, basically, to represent the Agency and  
8 welcome you; and to underscore the importance of this  
9 peer review process and the standing panel and the ad  
10 hocs that are here today to join us in this robust  
11 dialogue to support the endocrine disruption screening  
12 program.

13 Your dialogue and the public comments  
14 today are going to be critically important as we move  
15 forward with improvements in the pivot to  
16 high-throughput testing and computational approaches  
17 in our screening program. I want to thank you all and  
18 I want to hopefully welcome you and hope you have an  
19 enjoyable time while you're here, deliberating here in  
20 Washington, D.C. Thanks.

21 **DR. JAMES MCMANAMAN:** Before we move on  
22 -- sorry. You know the old adage, out of sight, out  
23 of mind. We have a phone panelist, Dr. Shaw, on the

1 phone. Dr. Shaw, if you can hear me, would you go  
2 ahead and introduce yourself?

3 **DR. JOSEPH SHAW:** Thank you. I'm Joe  
4 Shaw. I'm at Indiana University School of Public and  
5 Environmental Affairs. My expertise is in molecular  
6 toxicology and toxicogenomics.

7 **DR. JAMES MCMANAMAN:** Thank you. Sorry  
8 for the omission.

9 **DR. SEEMA SCHAPPELLE:** Thank you. I  
10 also want to underscore the comments that Dr. Barone  
11 had just mentioned. I want to primarily -- can you  
12 all hear me?

13 **DR. JAMES MCMANAMAN:** Bring the  
14 microphone a little closer.

15 **DR. SEEMA SCHAPPELLE:** Sure. How is  
16 that? I want to welcome you all to the EPA. Thank  
17 you very much for being here. My name is Seema  
18 Schappelle. I'm with the EPA's Office of Chemical  
19 Safety and Pollution Prevention. And within the  
20 program here that I manage, we oversee the endocrine  
21 disruptor screening program. That's going to be our  
22 focus here for the next two and a half days. We're  
23 going to learn everything we want to about this  
24 program and more.

1           As you all know, EDSP is our program  
2           for assessing the potential for endocrine disruption  
3           when it comes to estrogen, androgen, or thyroid.  
4           We're doing this for pesticides, for chemicals, and  
5           environmental contaminants, not only in humans, but  
6           also in wildlife as well. That's very much the focus  
7           of our program and the crux of what we're doing here  
8           today.

9           I also want to thank all of you from  
10          the panel. I want to thank Chair McManaman for  
11          chairing this session, and all of you that have come  
12          here to offer your feedback throughout the next couple  
13          of days. It's very valued. Also, before I move on, I  
14          want to make sure to extend gratitude to the many  
15          scientists within the Agency and beyond that have  
16          extended their work and offered it to the program for  
17          utilization.

18          I'd like to start with our very own,  
19          with our scientists from the Office of Chemical Safety  
20          and Pollution Prevention and their work in assembling  
21          the models and applying them here within EDSP. I also  
22          want to thank our program office, EPA's Office of  
23          Research and Development, for the work that they've  
24          done, as well as members from NIH's NTP Interagency

1 Center for the Evaluation of Alternative Toxicological  
2 Methods, or NICEATM.

3 In all of the efforts that have been  
4 developed from our scientists, these are the tools and  
5 the high-throughput approaches and models that we are  
6 relying on and applying within the EDSP.

7 I just want to take a few minutes to  
8 give you just a little bit of orientation on what we  
9 do within the program, underscore the pivot that Dr.  
10 Barone had mentioned. In general, EPA intends to use  
11 the data that we've collected, either within the  
12 program or data that are available to us on pesticides  
13 and chemicals, to determine this risk for human health  
14 or the environment due to disruption of the endocrine  
15 system.

16 We're doing this in a couple of ways.  
17 Up on the screen you can see our approaches to  
18 incorporating computational toxicology data into the  
19 program. First and foremost, this is our ability to  
20 rapidly screen chemicals within the EDSP, within the  
21 universe of chemicals that we're looking at. I'll  
22 talk a bit about that as well.

23 We're also looking within the program  
24 to contribute to the weight of evidence screening

1 level determinations that help us determine a  
2 chemical's potential bioactivity. Also, this is a  
3 platform for incorporation of alternative data for  
4 specific endpoints within our Tier 1 battery, within  
5 EDSP.

6 It's important to remember that these  
7 are steps that we are taking to really try to achieve  
8 smarter testing of chemicals through the use of these  
9 CompTox tools and methods, not only for better  
10 prediction, but also the opportunity to reduce the  
11 reliance on animal testing and in vivo based  
12 toxicology studies that we know so well.

13 On the previous slide there was a blue  
14 circle representing the EDSP universe. Let me just  
15 break that down very briefly here. The suite of  
16 chemicals and substances that we're assessing here  
17 within the program consist mostly of pesticides, of  
18 actives and inerts that are shown here. Those are  
19 statutorily mandated for evaluation under the Federal  
20 Food, Drug, and Cosmetics Act. Then another portion  
21 of the substances that we're evaluating are mandated  
22 under the Safe Drinking Water Act.

23 You can see the breakdown on the screen  
24 of the number of substances that are contained in

1 those categories, and of course, kind of the unique  
2 number of substances that we're assessing here. That  
3 final breakdown is a little over 10,000 chemicals.

4 Lastly, I want to just pull on this  
5 slide that I think does a really nice job of not only  
6 showing our screening and testing approach -- which is  
7 depicted on the left side of this graph -- but also  
8 our incorporation of our pathway models and our use of  
9 alternatives and our intended use of alternatives  
10 moving forward.

11 On the top left of this graph you see  
12 the Tier 1 screening battery, the 11 assays that we  
13 utilize to determine the potential bioactivity of  
14 estrogen, androgen, and thyroid hormone systems.  
15 Using this screening battery on the top left under  
16 Tier 1. Based on the weight of evidence analysis that  
17 occurs on the Tier 1 battery results, substances that  
18 exhibit this potential for bioactivity with E, A, and  
19 T, then advance to the Tier 2 testing approach on the  
20 bottom left.

21 The Tier 2 tests are ultimately  
22 designed to identify any adverse endocrine-related  
23 effects that are caused by that substance, as well as

1 to establish this quantitative relationship between  
2 that dose and the endocrine effect.

3 That covers what's on the left-hand  
4 side. On the right-hand side, you'll see the ToxCast  
5 models that we've either proposed or that we will be  
6 talking about extensively here. These are really a  
7 depiction, on the right-hand side, of how our various  
8 pathway models are envisioned to work together. The  
9 ToxCast ER model, shown in red on the right, was  
10 announced by the Agency about two years ago in the  
11 summer of 2015. It's been proposed as an alternative  
12 to the three Tier 1 assays on the left, to the ER  
13 binding, the ERTA, and the uterotrophic assays.

14 As we move forward, we'll be assessing  
15 some of the additional approaches that we have  
16 proposed there. I have to apologize. My yellow  
17 stars, which I so cleverly placed, are misaligned.  
18 I'll talk you through that instead of relying on  
19 what's on the slide there. We do want to focus over  
20 the next couple of days on the three models which are  
21 shown there: the AR model, the steroidogenesis model,  
22 and thyroid, moving forward.

23 All of these are in various stages of  
24 development, as you'll see and as you probably have

1 seen from the white paper that has been submitted and  
2 released. For the purposes of our discussion here,  
3 the ToxCast AR model we are proposing as an  
4 alternative to one of the Tier 1 assays, to the AR  
5 binding. So, if you envision that first yellow star  
6 be on the fourth line down, AR model proposed. That's  
7 one of the things that we're going to be discussing  
8 extensively today.

9 With regard to the steroidogenesis  
10 pathway model, we'll be looking at a high-throughput  
11 H295R assay as an alternative to the low-throughput  
12 H295R steroidogenesis assay. Then we'll also be  
13 talking about and considering a broader pathway model.  
14 Again, we'll address that in depth today as well, and  
15 over the next few days.

16 And then regarding the thyroid. Within  
17 the context of EDSP, we've developed an initial  
18 framework in establishing our ability to utilize a  
19 network of AOPs for the potential evaluation of  
20 perturbation of thyroid function. These are all the  
21 pieces that we'll be talking about and I think this  
22 helps explain why and where they fit.

23 We're looking forward to the  
24 deliberations over the next two and a half days. I

1 again want to thank you all for being here and  
2 offering your feedback, your critical feedback as  
3 well. That's what we're here for. As we come out of  
4 this meeting, our intention is to take the feedback  
5 that we receive from you all, from our public  
6 commenters that are here today, and additional  
7 deliberations that will occur with our scientists  
8 within the Agency and beyond. Taking all of this  
9 information, bringing it together and utilizing it to  
10 make for a better program, to improve the approaches  
11 that we have and increase the robustness of what we  
12 are evaluating here within EDSP.

13 Thank you very much. With that, I'm  
14 going to turn it over to Dr. Bever who is going to  
15 start with our background on this paper.

16 **DR. RONNIE JOE BEVER:** Good morning.  
17 I'm going to present the background and basically  
18 provide you some context with --

19 **DR. JAMES MCMANAMAN:** Dr. Bever, could  
20 you move the microphone just a little closer?

21 **DR. RONNIE JOE BEVER:** Provide you with  
22 some context of why we're here, what we're doing, and  
23 what the Agency really expects out of this meeting.

1 I'd like to begin by telling you what  
2 I'll be describing today. I'll start with the  
3 problem. Endocrine disruptors represent a great  
4 concern and I will tell you how Congress has responded  
5 to this great concern by issuing mandates. These  
6 mandates resulted in the development of the Endocrine  
7 Disruptor Screening Program. Now this screening  
8 program discovered a great challenge in the amount of  
9 chemicals that we are actually required to test, and  
10 discovered that our rate of testing these chemicals  
11 was comparatively slow. I'll be describing that.

12 The endocrine disruptor screening  
13 program's response to that in the development of  
14 high-throughput methodology -- both for exposure and  
15 for bioactivity. Then I'll discuss some validation  
16 principles and the performance-based approach.  
17 Finally, I will summarize what the Agency would like  
18 to see with this meeting.

19 The problem: The Centers for Disease  
20 Control and Prevention estimated that 7.3 million  
21 women in the United States will seek the services of  
22 infertility clinics, based on data from 2011 to 2015.  
23 Women aren't the only ones suffering problems with  
24 fertility in this country. It is known that western

1 males in the western hemisphere are suffering from  
2 declines in sperm count; and, according to one  
3 meta-analysis published this year from the Human  
4 Reproduction Update, as many as 50 percent decline in  
5 the past 40 years.

6           Infertility isn't the only problem that  
7 endocrine disruptors can cause. And endocrine  
8 disruptors -- mainly the estrogens and the androgens -  
9 - will cause this infertility effect, but endocrine  
10 disruptors can also result in developmental problems.  
11 Once again, estrogens and androgens can play a part in  
12 that, but also thyroid hormones are particularly  
13 important with developmental problems.

14           These problems are notably of great  
15 concern; and in 1996, Congress issued the Food Quality  
16 Protection Act. This act amended the Food, Drug, and  
17 Cosmetic Act and the Safe Drinking Water Act. Now, it  
18 did a number of things, but we're here focusing on  
19 endocrine disruptors.

20           One excerpt from the Food, Drug, and  
21 Cosmetic Act is presented at the bottom of the screen.  
22 It calls for the Agency to develop a screening program  
23 using validated test systems, as well as other  
24 scientifically relevant information. We're basically

1 looking for the occurrences of estrogens, and also  
2 other endocrine-related effects which the Agency has  
3 specified to include androgens and the thyroid  
4 hormones.

5 The Endocrine Disruptor Screening and  
6 Testing Advisory Committee was formed in the same  
7 year, 1996, and generated this conceptual framework.  
8 I'm not going to go through all the bifurcations of  
9 this decision tree. Instead, I'm going to follow it  
10 down the way that the great majority of chemicals will  
11 go.

12 We start with sorting the chemicals and  
13 this depends on having information about the  
14 chemicals. Ideally, we will have bioactivity  
15 information, endocrine bioactivity information, as  
16 well as exposure information. This allows us to make  
17 judicious choices in our prioritization, which is the  
18 next step. It's very important, considering the  
19 number of chemicals we have, that we look at the most  
20 important chemicals based on bioactivity and exposure  
21 first.

22 After prioritization, we go to the Tier  
23 1 screening. And the purpose of the Tier 1 screening  
24 is to identify endocrine bioactive compounds. Now,

1 this is a screening battery that includes in vitro and  
2 in vivo assays, as Dr. Schappelle showed in one of her  
3 slides. The decision on what is a bioactive compound  
4 is made by the expert opinion in the Agency based on a  
5 weight of analysis examination, weight of the evidence  
6 examination.

7 That means that just because there is  
8 some indication of endocrine bioactivity in a single  
9 assay, it's not necessary that the Agency will  
10 consider it a bioactive compound in need of Tier 2  
11 testing.

12 Bioactive compounds are sent to Tier 2  
13 testing. Tier 2 testing involves several in vivo  
14 studies -- which again, Dr. Schappelle showed -- and  
15 in Tier 2 testing, we decide which are endocrine  
16 disruptors and which are not. We also have  
17 established a dose response relationship at Tier 2.

18 At both Tier 2 and Tier 1, chemicals  
19 that show themselves not to be bioactive, or not to be  
20 endocrine disruptors, basically go to a holding bin.  
21 In this holding bin, there is no further analysis  
22 required at this time. Endocrine disruptors go to  
23 hazard assessment. After hazard assessment, of  
24 course, there is risk assessment. I just want to

1 point this out because we're considering exposure at  
2 the very beginning in prioritization, and we're  
3 considering exposure again during risk assessment.

4 Now, according to the EPA Science  
5 Advisory Board, the FIFRA Scientific Advisory Panel,  
6 the Endocrine Disruptor Screening and Testing Advisory  
7 Committee, and public comment, there was a universal  
8 agreement in the recommendation that a special program  
9 be formed in the Agency -- the Endocrine Disruptor  
10 Screening Program -- to address this important topic.  
11 I described the problem, so it's not only just as  
12 important as I'll show you, and as Dr. Schappelle has  
13 mentioned, we have a huge task; and so it's just  
14 apropos that a special program be developed to deal  
15 with it.

16 Now, once again, we use a two-tiered  
17 approach. After prioritization there is the Tier 1  
18 battery, which serves to identify potential endocrine  
19 bioactive substances. That's followed by Tier 2  
20 testing, which evaluates the dose response  
21 relationship and establishes if the substance is  
22 indeed an endocrine disruptor.

23 We have approximately 10,000 chemicals  
24 to deal with. Those include the inert and active

1 pesticides, as well as other substances to which  
2 humans will be substantially exposed. Dr. Schappelle  
3 actually did a breakdown of these 10,000 chemicals in  
4 one of her slides. The first list the Agency created  
5 to call in endocrine testing was 67 chemicals. The  
6 mandates allow the Agency to actually issue orders for  
7 test data. It also allows the Agency to stop the sale  
8 and distribution of chemicals should we choose.

9 Now, 1996 we have the mandate. In  
10 2017, OPP has completed the weight of evidence  
11 analysis in Tier 1 for only 52 chemicals. At this  
12 pace it will take decades, millions of dollars, and  
13 sacrifice of a great deal of animals, to make it  
14 through all of these chemicals we're mandated to  
15 evaluate. Therefore, this Agency and this program  
16 sees it necessary that we develop high-throughput  
17 assays, a different way of looking at these chemicals,  
18 so that we can fulfill our mandate in a timely manner.  
19 These high-throughput assays will reduce cost, animal  
20 use, and testing time required, of course.

21 And they have the benefit of  
22 prioritization to be more efficient. That's  
23 important. Once again, prioritization is based off  
24 the data that we have for the chemical. So, if we

1 have no endocrine bioactivity data, it makes it more  
2 difficult to make a judicious selection, while  
3 high-throughput assays can and have supplied this  
4 bioactivity data.

5           Therefore, once again, as soon as the  
6 program can develop a high-throughput assay, working  
7 together with its partners such as ORD and NCCT, we  
8 will bring it to the attention of SAP. It may be that  
9 we will develop the model further and refine it later  
10 on. That's fine in the performance-based approach,  
11 which I'll be describing in a few minutes.

12           It's an easier task to develop the  
13 high-throughput alternatives for the Tier 1 in vitro  
14 test. The ER pathway model was developed, and already  
15 we accept it as an alternative for the estrogen  
16 receptor binding assay, the estrogen reception  
17 transcriptional activation assay. These are two in  
18 vitro assays. We also accept the ER pathway model as  
19 an alternative for the in vivo uterotrophic assay.

20           In today's meeting, we will be  
21 proposing an androgen receptor binding alternative,  
22 which is the AR pathway model. We'll also be  
23 proposing the high-throughput steroidogenesis assay as

1 an alternative for the low-throughput. We haven't  
2 made it to the aromatase assay yet, but we will.

3 Now I'd like to discuss, basically, our  
4 bringing these ideas of high-throughput exposure and  
5 bioactivity assays and models to the attention of the  
6 SAP, and our cooperation in working with the SAP  
7 through the years. I'll begin in January 2013. In  
8 this meeting we brought eight high-throughput estrogen  
9 receptor binding assays to the SAP. We also discussed  
10 using physical chemical properties to exclude  
11 substances from testing. This exclusion was based  
12 upon, for example, properties that would not allow it  
13 to be tested in the assays; for example, extremes in  
14 PKA.

15 Also, if the chemical would not trigger  
16 the molecular initiating event, it could be excluded.  
17 We could know that by, for instance, it's molecular  
18 structure. The estrogen receptor expert system  
19 quantitative structural activity relationship is  
20 basically an in silico model for predicting the  
21 chemicals that would successfully bind. The Agency  
22 proposed that these sort of concepts could also be  
23 used for androgen and thyroid evaluations.

1           The SAP's comments and what we did  
2           about them are shown here. The SAP suggested  
3           considering exposure information early. And once  
4           again, we plan on considering exposure during  
5           prioritization. High-throughput assays of bioactivity  
6           could benefit prioritization. Again, that's the study  
7           and that's what our plan is also. In fact, a few  
8           thousand chemicals have already undergone high-  
9           throughput assays for endocrine bioactivity. This  
10          sort of information, once again, allows us to make a  
11          judicious choice in which chemicals to test first,  
12          i.e. prioritization.

13                 It was suggested that estrogen receptor  
14          assays needed refinement and additional assays for the  
15          suite. We addressed that when we came back in 2014,  
16          to the SAP. It was suggested that androgen receptor  
17          pathway model should focus on androgen receptor  
18          antagonism. The Agency agrees, and we will discuss  
19          that later on today.

20                 They also said that the thyroid pathway  
21          will involve multiple modes of action. Of course, the  
22          Agency agrees, and we will illustrate in our framework  
23          several adverse outcome pathways.

1           In July 2014, we came to the SAP with  
2 high-throughput exposure models. We also discussed  
3 the high-throughput toxicokinetics and reverse  
4 toxicokinetics. ExpoCast is short for exposure  
5 forecast. It is basically a way of high-throughput  
6 quantitative estimates of exposure.

7           SEEM, the systematic empirical  
8 evaluation of models, integrates the predictions of  
9 multiple models and empirically evaluates model  
10 performance systematically over as many chemicals as  
11 possible.

12           The SEEM framework includes calibration  
13 and evaluation of the high-throughput exposure models  
14 using chemical concentrations found in blood and urine  
15 from the National Health and Nutrition Examination  
16 Study.

17           Now, high-throughput toxicokinetics  
18 predicts tissues concentrations based on oral dose,  
19 and reverse toxicokinetics they converse. This  
20 exposure modeling -- high-throughput exposure  
21 modeling, is important to us because, once again,  
22 without exposure there can be no risk.

23           The SAP comments for this was they were  
24 basically happy with the SEEM framework, but they

1 wanted the uncertainty reduced. They also generally  
2 concurred with our approach for high-throughput  
3 toxicokinetics and reverse toxicokinetics modeling.  
4 However, they suggested that we also look into the  
5 inhalation route, as well as the dermal route.  
6 Because once again, we concentrated initially on the  
7 oral dose.

8           In December 2014 we brought the ER  
9 pathway model. Now, this model consists of 18  
10 orthogonal high-throughput estrogen receptor assays.  
11 We presented it as an alternative model for the  
12 estrogen receptor binding and the estrogen receptor  
13 transcriptional activation assays, and the  
14 uterotrophic assays. At this same meeting we  
15 presented our first generation AR pathway model. Once  
16 again, the AR pathway model has been brought to the  
17 SAP before, and we are presenting refinements to this  
18 model today based on recommendations from the panel.

19           Finally, we discussed the integrated  
20 bioactivity exposure ranking system as a measure of  
21 prioritization. Again, this is following our idea  
22 that prioritization should consider both bioactivity  
23 and exposure.

1           The SAP's comments on the estrogen  
2 receptor model was the following: First of all, they  
3 felt like it had several strengths, but they wanted  
4 uncertainty and sensitivity analyses done and they  
5 wanted more transparency. They wanted it to be more  
6 clear the methodology, as well as they wanted to be  
7 able to repeat the statistical analyses. Now, the  
8 Agency addressed all of their comments and we declared  
9 that we would accept the ER pathway model as an  
10 alternative for the ER uterotrophic assays.

11           In December, the SAP's comments for the  
12 androgen pathway model was -- and this is only a few  
13 of them. I'm going to be discussing more of their  
14 comments and more of our responses later. This is  
15 just a flavor of what is to come.

16           They said to evaluate cytotoxicity, and  
17 we addressed that. They said to expand the range of  
18 chemical structures tested in the assay battery.  
19 We've addressed that, and again, I'll detail this  
20 later.

21           They said, include methods to assess  
22 the potential effects of non-classical/non-genomic  
23 mechanisms that mimic or inhibit androgen bioactivity.  
24 This is a great idea, but the current low-throughput

1 androgen receptor binding assay does not do this. An  
2 alternative to that assay, therefore, would not have  
3 to do that either.

4 In general, the SAP was pleased with  
5 the integrated bioactivity exposure ranking system.  
6 The SAP suggested refinements to the IBER, including  
7 gaining a better understanding of how monitoring data  
8 would strengthen the approach; and secondly,  
9 increasing the NHANES exposure data integrated into  
10 the IBER model. Refinement of the IBER model is  
11 ongoing.

12 Now, the basic validation principles,  
13 however, have remained fairly constant. There was a  
14 meeting on validation in Solna, Sweden in 1996. They  
15 discussed topics such as this; and these same sort of  
16 topics, you will see in the EPA documents, and OECD  
17 documents, and other regulatory agencies.

18 First, there is relevance. OECD's  
19 Guidance Document 34 defines relevance of a test  
20 method as encompassing the regulatory need, usefulness  
21 of the alternative method, and associated limitations  
22 of the test method.

23 Fit for purpose is basically the  
24 context of use, as well as in the performance-based

1 approach, performance-based acceptance criteria, to  
2 determine if the model or the assay is actually  
3 meeting its purpose. I'll be discussing some of those  
4 criteria momentarily.

5           Reproducibility is defined in the GD-34  
6 as the extent of -- reliability or reproducibility can  
7 be used as similar terms -- the extent of  
8 reproducibility of results from a test within and  
9 among laboratories over time when performed using the  
10 same standardized protocol.

11           In this instance, cross-lab validation  
12 is appropriate for any sort of method that you expect  
13 to be used in naïve laboratories all across the world.  
14 It needs to be such that each lab can get similar  
15 results. However, some of these 21st century  
16 techniques aren't conducive to being performed in  
17 naïve labs. These special techniques require  
18 specialized equipment and specialized training.  
19 Furthermore, when the performance-based approach is  
20 used, and the criteria are fully evaluated, the need  
21 for cross-lab validation may not be apparent.

22           Now, transparency means that we expect  
23 that the models are going to be -- our models, assays,  
24 the methodologies, will be readily understood by

1 everyone. We also want, as the SAP pointed out, that  
2 the statistical analysis be able to be reproduced by  
3 other people. It's important that we be very clear  
4 and comprehensive in reporting these things.

5 I'd like to talk about the performance-  
6 based approach. The performance-based approach is not  
7 a new thing. It was talked about in a paper in 1994,  
8 for instance. It's very different from what the EPA  
9 and most other regulatory agencies normally use. The  
10 regulatory agencies typically use a prescriptive  
11 method. They call it a prescriptive method because it  
12 gives you a very detailed methodology that you're  
13 expected to conform to in order to get the test  
14 results to be accepted.

15 Now, the performance-based approach  
16 handles it in a very different fashion. Instead of  
17 focusing on the methods, the performance-based  
18 approach focuses on the end result. That means it's  
19 very flexible in the methodology, as long as you stay  
20 within the same use context. The performance-based  
21 approach uses performance-based acceptance criteria,  
22 which I'll be discussing in the next slide.

23 It's very useful in that when you're  
24 using the performance-based approach, you're going to

1 be able to adopt scientific innovations immediately.  
2 How is this so? Because we expect these scientific  
3 innovations to give a better performance. In other  
4 words, they will meet the performance-based acceptance  
5 criteria, which means that they will be accepted in  
6 the regulatory context.

7 So performance-based acceptance  
8 criteria, it all comes down to having adequate  
9 reference chemicals so that you can really discern  
10 true criteria -- true measurements in the criteria.  
11 This means that systematic literature review is  
12 extremely important. Systematic literature review can  
13 identify a number of chemicals, and we need a number  
14 of chemicals. We need negatives and we need  
15 positives. Sometimes we need various types of  
16 positives, such as positives for agonist receptor  
17 binding, positives for antagonist receptor binding.  
18 With the high-throughput assays, you can look at many  
19 chemicals all at once, as opposed to the  
20 low-throughput; so that gives a very robust testing  
21 system.

22 Now, sensitivity is basically the  
23 proportions of positives correctly identified, while  
24 specificity is the proportion of negatives. Accuracy

1 is the proportion of correct outcomes predicted.  
2 Balanced accuracy is the average of sensitivity and  
3 specificity. These three factors -- sensitivity,  
4 specificity, accuracy -- are all examples of some  
5 acceptance criteria that could be used for fitness for  
6 purpose.

7 This is pretty important because if you  
8 look at cross-validation with the prescriptive method,  
9 you're basically telling the people how to perform the  
10 assay. Well, you want cross-lab validation to make  
11 sure that these labs performing the assay according to  
12 your methodology, they're generating similar results.

13 With performance-based acceptance  
14 criteria, if you're setting the criteria for accuracy  
15 at 95 percent -- just for the sake of argument --  
16 you're making sure that these laboratories are giving  
17 you quality data in that regard, that they're giving  
18 you similar answers based on the wealth of reference  
19 chemicals that you supply.

20 Z-factor is also referred to as  
21 Z-prime. It examines signal dynamic range, as well as  
22 data variation. It can be a measure of  
23 reproducibility, as well as a measure of assay  
24 quality. It is different from what we'll be

1 discussing later, which is the Z-score. The Z-score  
2 is used a measure of cell stress. And again, we'll be  
3 discussing that later.

4 There are other criteria that can also  
5 be used for reproducibility. The most common of these  
6 might be percent coefficient of variation. These are  
7 just examples of some acceptance criteria that the  
8 performance-based approach could use.

9 Without cross-lab validation, it brings  
10 a huge benefit because cross-lab validation can take a  
11 long time to complete. It can take many animal lives  
12 to complete, and it's costly. So, if cross-lab  
13 validation is not necessary, it's good not to have it.  
14 This topic is not new either. NICEATM has already  
15 been discussing this and Warren Casey made a  
16 presentation about this.

17 I'd like to finalize my talk by telling  
18 you what our goals are. We are going to present a  
19 high-throughput androgen receptor pathway model, and  
20 we're hoping it's accepted as an alternative for the  
21 low-throughput androgen receptor binding assay.

22 We're also going to present a high-  
23 throughput H295R steroidogenesis assay, which is  
24 basically an upscaled version of the low-throughput

1 H295R assay, with some particulars that Dr. Paul  
2 Friedman will be discussing. We would like for it to  
3 be accepted as an alternative.

4 Finally, we would like the comments and  
5 advice from the SAP concerning our thyroid framework  
6 and our initial development of an approach to detect  
7 substances that can perturb thyroid function. We do  
8 hope to someday -- certainly not in this SAP -- but  
9 someday to develop some way of a high-throughput  
10 approach to detecting perturbations of thyroid  
11 function.

12 Thank you very much for your attention,  
13 and that's it for me.

14 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
15 Bever.

16 Questions from the panel?  
17 Clarification questions for him before he leaves the  
18 seat?

19 **DR. KRISTI PULLEN FEDINICK:** I had one  
20 question about your statement -- and also in the white  
21 paper -- about not needing to incorporate the  
22 non-genomic mechanisms. Saying that if you have an  
23 existing test that doesn't test for specific

1 endpoints, the alternative then doesn't need to have  
2 that.

3 My question in thinking about it kind  
4 of metaphorically or an analogy, is if you have a  
5 bicycle as your initial test, but you built a car, is  
6 it appropriate to test that car on bicycle standards?  
7 Can you talk a little bit about why the Agency thought  
8 not about incorporating the non-genomic information  
9 into this particular replacement test for AR, for  
10 example? Or for other tests as you move forward?

11 **DR. RONNIE JOE BEVER:** Once again, we  
12 intend to bring forth what we believe as  
13 scientifically valid high-throughput systems as  
14 quickly as possible. We would like to use the  
15 performance-based approach so that any refinements  
16 that we make later can be incorporated and can be  
17 actually used. So when we're trying to establish an  
18 alternative -- I understand your approach, and that  
19 doesn't mean that we're not continuing to refine our  
20 products. We refined the estrogen receptor pathway  
21 model. We refine the exposure models on an ongoing  
22 basis. We're trying to perfect what we're doing.

23 But, at this stage, yes, I believe it's  
24 a valid point that the current binding assay, it

1 doesn't address this. So, if I'm just saying, hey,  
2 we're looking for it as an alternative for this assay;  
3 well, no, we don't have to.

4 **DR. SEEMA SCHAPPELLE:** Also, I'd like  
5 to just maybe remind the role of metabolism here, as  
6 well, in terms of further refinements as we go. There  
7 is more work to do there.

8 **DR. THOMAS ZOELLER:** What specifically  
9 do you mean by, orthogonal, when you talk about  
10 different assays?

11 **DR. RONNIE JOE BEVER:** I mean by  
12 orthogonal that they complement. And complement  
13 meaning that if there is a weakness in a particular  
14 assay -- for instance, let's say it only has a  
15 moderate sensitivity. There may be another assay that  
16 has an excellent sensitivity. Sometimes it's a caveat  
17 between sensitivity and specificity. But what we mean  
18 by orthogonal is that they complement each other.

19 **DR. THOMAS ZOELLER:** By complement I  
20 can think of a number of different ways two assays  
21 could complement each other. You just mean it in a  
22 general way, that it could be an assay for  
23 transcription versus binding, for example? Those  
24 would be complementary. Or it could be two different

1 transcriptional assays in two different cell lines, et  
2 cetera. So, it's a very broad --

3 **DR. RONNIE JOE BEVER:** I do mean it in  
4 a general way. Yes.

5 **DR. STANLEY BARONE:** Just to add to  
6 that in response to Dr. Zoeller's comments. We have  
7 different detection systems, we have different cell  
8 systems, difference species. So, within the battery,  
9 there can be lots of different types of orthogonal  
10 assays. That's also, as you pointed out, there are  
11 assays for different parts of the pathway.

12 One of the things that we've been  
13 exploring, along with our partners, is looking at the  
14 pathway in a more complete fashion. That's another  
15 aspect to the computational model. We're bringing in  
16 more of the biological construct data into the model,  
17 than just a simple, one single assay, or two simple  
18 assays, into the Tier 1 screening approach.

19 **DR. JAMES MCMANAMAN:** Other questions?  
20 Thank you very much.

21 **DR. RICHARD JUDSON:** Good morning,  
22 everyone. I'm Richard Judson. I'm with the Office of  
23 Research and Development, National Center for  
24 Computational Toxicology, and I'm a bioinformatician.

1 I'm going to tell you about what I  
2 think is some complicated biologies, but some very  
3 simple math. My goal is to explain it so that all of  
4 you -- and you're a very diverse group of folks --  
5 sort of understand all of it without insulting the  
6 intelligence of the experts in your respective fields.

7 My outline here. I'm going to state  
8 what our objective is. We're not trying to solve all  
9 problems. We have a specific objective. We had to  
10 develop our own set of reference chemicals for the  
11 androgen receptor. Then I'm going to talk about the  
12 technology of the model itself and the assays behind  
13 it, some results where we go through this validation  
14 process, and then a little discussion.

15 Before I do that, I want to make a  
16 comment on Dr. Pullen's question about the non-genomic  
17 mechanisms, which we don't address here. After the  
18 last SAP, talking about the estrogen receptor model --  
19 which is perfectly relevant here -- the question of,  
20 why don't you see if you can't detect these?

21 We did a little bit of searching for  
22 enough chemicals -- you can't validate an assay, or  
23 test an assay, or test a model without some reference  
24 chemicals. We didn't spend a lot of time, but we

1 never found a set of sufficient number of reference  
2 chemicals to feel like we could do that test. So, if  
3 there are people out there who say, I can give you 10  
4 or 20 chemicals that act through non-genomic  
5 mechanisms for ER or AR, we'd love to hear about that.

6 Having said that, let's move on. Our  
7 objective -- this was six or seven years ago when we  
8 started this process -- was not to replace assays, but  
9 simply to prioritize chemicals. We have this list of  
10 10,000 chemicals which we could not run through the  
11 standard Tier 1 battery. So, can you simply do some  
12 high-throughput screening to do prioritization?  
13 That's our first goal.

14 You don't need to know the exact truth,  
15 whatever the truth is, to do a pretty good job of  
16 prioritization. The method has to be able to test  
17 thousands, up to tens of thousands of chemicals, which  
18 I think we're doing a pretty good job at. But then as  
19 we showed that we had pretty good accuracy relative to  
20 the Tier 1 test. We did this for ER and now we're  
21 doing it for androgen. We said, okay, are we good  
22 enough that we can use the high-throughput method as  
23 an alternative for the -- not a replacement, just an

1 alternative for the Tier 1 test. So, there's two  
2 goals here.

3 The overall approach is we're going to  
4 integrate multiple high-throughput screening assays.  
5 I'm going to spend a lot of time talking about one  
6 assay -- there is no one perfect assay. You have to  
7 use multiple assays. We can talk more about what  
8 orthogonal means, if people want.

9 The reason you have to have more than  
10 one assay, and why this orthogonal idea is important,  
11 is because chemicals can -- we call interfere. They  
12 can cause false-positive activity that has to do with  
13 the assay technology and nothing to do with -- in this  
14 case -- with the androgen receptor activity, at all.

15 Different chemicals can cause different  
16 kinds of interference in different kinds of cells and  
17 readout technologies and so on. We're going to apply  
18 this performance-based validation. We're going to  
19 take a set of reference chemicals that we define  
20 upfront, and where we sort of know the truth about  
21 active/inactive, weak/strong, and see how well this  
22 integrated model works against those.

23 The reference chemical effort -- and  
24 this was really driven by Dr. Kleinstreuer at NTP --

1 but she led an effort to go through a systematic  
2 literature review of in vitro data from the literature  
3 where you want -- essentially what you want is  
4 chemicals that have been tested in many labs and get  
5 consistent results. Either they're positive as an  
6 agonist or an antagonist, or they're always negative.  
7 So you're always bootstrapping your way looking at the  
8 history based on the literature.

9 In order to do this, the systematic  
10 part of this says that you extract really detailed  
11 information about the studies to make sure the studies  
12 were well done. I'll talk a little bit about that.  
13 We also brought in chemicals that had been validated  
14 in some way by ICCVAM and ECVAM and OECD and other  
15 agencies.

16 The literature effort -- I don't think  
17 it's on here, but I think every paper had to be read  
18 by two people. It was a real lengthy, time-consuming  
19 effort. Each paper, okay, what is the PubMed ID, the  
20 author, the year, make sure that you know what  
21 chemical is being tested. All of you who deal with  
22 chemicals know that it's easy to -- people call  
23 chemicals lots of different things, so they had to

1 guarantee that the chemical tested in this paper and  
2 this paper were really the same chemical.

3 Then you want to know do they call it  
4 active/inactive, any sort of notes about the response,  
5 and then a quantitative value, which could be an AC50,  
6 IC50, relative binding affinity and so on. What the  
7 assay is, what cell type, what the cell culture media  
8 was, what the readout type was. Is it a fluorescence  
9 based assay, is it a radioligand binding assay, and so  
10 on. What reference controls.

11 You always sort of validate your assay  
12 in your lab by running a positive control and a  
13 negative control. How many doses and so on.  
14 Especially for the antagonist assays, what is the  
15 cytotoxicity? And for those of you who don't know  
16 about antagonist assays, essentially you take an  
17 agonist assay which starts low, you add the chemical,  
18 it goes up. An antagonist assay, you put a reference  
19 agonist in, you start high, and then you put your  
20 antagonist in, which will then displace the agonist  
21 and you go low. Since going high to low, you can also  
22 have that happen because of cytotoxicity.  
23 Cytotoxicity can mimic antagonistic activities. We  
24 have to control for that.

1           The result of that long process was  
2 they identified 103 chemicals. This is for the  
3 binding data here, 1,100 rows. A row is a report of  
4 one chemical and one paper. Obviously, on average you  
5 found about 10 reports of chemicals, but there are  
6 certain chemicals where you find 40 or 50 or 60, and  
7 some where you only find one or two. Transactivation  
8 assays, there are 135 chemicals to choose from. This  
9 was the body of data, and then there was some  
10 selection criteria that brought this down to a final  
11 set that was used.

12           This is just an illustration -- it's  
13 going to be a little hard to see -- but what the raw  
14 data looks like. This is all of the 103 binding  
15 assays or some subset of those, so each column is a  
16 chemical. The dots correspond to the -- if it's a  
17 colored dot, what is the potency, so the AC50 or the  
18 IC50 of that chemical. And an important point to  
19 note. Note the scale, the distance between the major  
20 tick marks is four orders of magnitude. You can see  
21 the positive results can span one, two, three orders  
22 of magnitude. So there is a lot of variability in the  
23 literature data, which that's just inevitable because

1 different assays have different sensitivities and so  
2 on.

3 So, you're starting off with some  
4 uncertainty about what the true potency is, but then  
5 the black dots - which, with my old eyes, it's hard to  
6 see black versus the other colored ones -- those would  
7 be the upper limit of testing. I tested to 100  
8 micromolar, and I did not see any activity. I call it  
9 negative.

10 You can see even some of these positive  
11 chemicals that have multiple positive reports, have  
12 reports that are negative. My lab tested higher than  
13 your lab and I called it negative. There is some  
14 uncertainty about, is this chemical even active  
15 against this target. You start off with the  
16 literature has this uncertainty, which is - that's  
17 just the truth.

18 Once you apply the acceptance criteria  
19 -- which I'll talk about in a slide or so -- these are  
20 the final chemicals that we use. It's a smaller set  
21 of chemicals and notice the scale is quite a bit  
22 compressed. The major axis difference is just one  
23 order of magnitude. But for most of these chemicals,  
24 most of the activity spans one to two orders of

1 magnitude. But still, there is that uncertainty in  
2 what the true potency is.

3 Just in case you're wondering, yes,  
4 there are big dots and little dots. The big dots,  
5 there were a bunch of reports from the literature had  
6 roughly that same concentration.

7 To be accepted as an agonist reference  
8 chemical, you had to have at least three experiments  
9 and at least 70 percent yield positive results. What  
10 that means is that if you only had three reports, all  
11 three of them had to be positive. If you had four  
12 reports, one of them could be negative. That would be  
13 75 percent would be positive. Then we put them into  
14 these bins of strong, moderate, and weak. It gives  
15 you some kind of qualitative evidence of what the  
16 potency is.

17 The negatives had to have at least  
18 three reports that were negative, and there could not  
19 have been any positive reports. Later on you'll see  
20 some chemicals labeled as moderate/weak or  
21 moderate/strong, and that just means again, there is  
22 some uncertainty in what the potency is for the  
23 chemical based on the literature data, and so the  
24 uncertainty can span these groups.

1           The antagonist is roughly the same.  
2           You had to have greater than three experiments, 70  
3           percent yield positives. A lot of chemicals are  
4           really weak, so the antagonists in the literature tend  
5           not to be really blazing potent. They're not like the  
6           natural hormones. They're not like testosterone.

7           We had a mixed batch. There was not a  
8           lot of literature negatives, so we had to fall back  
9           and only have two or more negatives to call something  
10          a negative, to have a sufficient number.

11          Having used that criteria, there are  
12          finally a set of 54 chemicals; 37 agonists, 28  
13          antagonists. There is a number that overlap because  
14          they were consistently positive as antagonists and  
15          negative as agonists, or vice versa. Of those 54, 46  
16          had overlapping data with the model. So it's that 46  
17          that we're finally going to use as the validation  
18          process. If you want to look at the chemicals,  
19          they're in Table 2.2.

20          That's the reference chemicals. Any  
21          questions about that, clarifying, before I go on?  
22          Great.

23          Now I'll jump into the model. I  
24          already mentioned this, the issue, the reason for the

1 model is because no assay is perfect. There are three  
2 kinds of imperfection. One is that each of these  
3 different assays test some slightly different piece of  
4 the biology. We're looking at different places along  
5 the pathway, but also, we have different cell types.  
6 You have differential sensitivity from one cell type  
7 to the next, or are you a 96, 384, or 1536 well plates  
8 and so on. So, you have different sensitivity, and  
9 then how we actually measure the signaling is  
10 different.

11 Then we have assay interference. Just  
12 as an example that always makes sense to me, is if you  
13 have a radioligand binding assay, which we have a  
14 number of. So, there is cell-free, you just have the  
15 receptor sitting there in a solution and it has a  
16 radio label, testosterone or some other ligand in  
17 there. And you put in a potent other androgen, it  
18 will displace some of that. You have some  
19 radioactivity in the solution, and your potency or  
20 your efficacy is a measure of how much radioactivity  
21 is there.

22 Now you throw in a chemical which  
23 denatures the protein, so the protein simply  
24 dissolves, and all of the radioactive stuff jumps out

1 in the solution and you actually get a very nice  
2 binding curve, but it has nothing to do with binding  
3 to the receptors. That's the sort of thing we have  
4 interference.

5 Each of the different technologies has  
6 its own separate kind of things that could interfere  
7 with it. Both of those are -- if I run the assay  
8 today, or I run it tomorrow, or I run it in my lab and  
9 you run it in your lab, we will get the same  
10 false-positive results.

11 Then there is also noise. I run it  
12 today and I get one answer, and I run it tomorrow and  
13 I get another answer. All of these assays, just the  
14 high-throughput way, we run them once. There is some  
15 noise here.

16 What we're going to do is have a set of  
17 different assays across different points in the  
18 pathway, and we're going to use a relatively simple  
19 mathematical model to integrate all of that. What we  
20 finally come up with is a composite dose response  
21 curve for agonism, antagonism, and these different  
22 interference modes. And I'll talk more about that.

23 I just have a couple of slides on the  
24 experiments. We had 1,855 chemicals that we purchased

1 -- the EPA purchased -- through a vendor, Evotec.  
2 They were put on plates and shipped to all the  
3 vendors, so everybody is actually working with the  
4 same samples. There is a chance that actually there  
5 is a wrong chemical at the vendor, they mixed  
6 something up, so we'll get wrong answers across the  
7 board; but we see consistency and there may be some of  
8 that here.

9           Chemical QC, is the chemical in the  
10 well what we wanted to be in the well. That's an  
11 ongoing process. It turns out, you take 1,800  
12 chemicals and ask any vendor to say, okay, what's  
13 actually there? That's a really hard problem. We're  
14 about 60 percent of the way through getting that done.  
15 We're continuing to pull that in. Again, there could  
16 be some false calls here because of that, but the  
17 bottom line of the QC is that most of the chemicals  
18 are what they're supposed to be and they're about the  
19 right concentration, what they're supposed to be.

20           The chemicals run in 11 assays, which  
21 the next slide shows. Out of each assay we get a --  
22 hitcall, was it positive, negative, and then what was  
23 the potency. The AC50, it's the concentration at  
24 half-maximum activity. Everything except the

1 NovaScreen, which are the cell-free binding assays,  
2 were run in concentration response. With NovaScreen  
3 we did a single screen at relatively high  
4 concentration, 40 micromolar. If it was relatively  
5 potent, we would go run it in concentration response.

6 The reason for this is purely cost.  
7 These are really expensive assays and they were run in  
8 the context of screening. We had hundreds of assays  
9 NovaScreen ran because this is part of a general  
10 looking at all kinds of pathways. All the data is  
11 passed through our in-house open source ToxCast data  
12 pipeline, which we and lots of other people are using.

13 Don't look at this, but if we have  
14 questions later on about what the assays are, it's in  
15 your slide packet and we can go back. The major  
16 points, the different assays are looking at different  
17 points of the pathway. They have different cell  
18 types, some are the full-length receptors, some are  
19 the ligand binding domain.

20 The first assay, the human androgen  
21 receptor cell-free assay, is the only one that is a  
22 mutant protein. And we've been criticized about using  
23 this. It's a well-known mutant which is a little more

1 open pocket, it's a little more promiscuous, so it  
2 tends to have more positives than others have.

3 There is no technical reason for doing  
4 that. It has to do with a patent dispute. The  
5 company, NovaScreen, couldn't get a license to the  
6 patent for the wild type human receptor. There are  
7 lots of technical and economic reasons for some or all  
8 of this.

9 The key points of the model; so,  
10 somebody can say, you've run 11 assays in a chemical  
11 and if any one of those assays are positive, this is  
12 an androgen and we should act on it. So, that might  
13 be the real conservative approach. But the fact is,  
14 no, that's not right. As I've talked about, there are  
15 a lot of ways to get false positives, and so we need  
16 to look across multiple assays and get some kind of a  
17 weight of evidence.

18 What the goal of the model is doing is  
19 not to give you a final potency estimate, but it's  
20 really to try to distinguish false from true activity.  
21 What we're going to do is this simple mathematical  
22 model. We're going to classify a chemical as a true  
23 agonist, a true antagonist, or it's acting through one  
24 of several defined interference modes. We quantify

1 each of these modes -- agonist, antagonist, and so on  
2 -- with this area under the curve value -- I'll have a  
3 little picture later on -- and the mode with the  
4 highest AUC is selected. The AUC is not potency, but  
5 we give you potency estimates. Again, more on that  
6 later.

7 For those of you who are nuclear  
8 receptor biologists, just don't laugh. This is my  
9 naïve understanding of how nuclear receptor biology  
10 works. The way this works is you have the actual  
11 receptor. A chemical actually binds to the receptor  
12 itself. Then it dimerizes -- two dimers come in. We  
13 don't have any dimerization assays, but in principle  
14 you could develop one. The dimer recruits some  
15 cofactors and forms the mature transcription factor.  
16 That then goes and binds to the DNA, and of course,  
17 DNA then you create RNA and then the RNA turns into  
18 protein. That's the standard cycling, or the biology  
19 of the nuclear receptors. These colored circles are  
20 kind of the underlying biology. That's agonism.

21 Antagonism, you actually bind,  
22 dimerize, recruit the cofactors, and you bind to the  
23 DNA, but you bind in a way that halts -- doesn't allow

1 transcription to happen. You actually can measure  
2 that.

3 For each of these modes, the underlying  
4 biology, we have one or more assays. These white  
5 stars are the actual assays and the lines connecting  
6 them, the arrows, indicate that if you actually bind,  
7 you should light up assays one, two, and three. If  
8 you recruit cofactors, you should light up assays four  
9 and five and so on.

10 So that's the true biology. Then we  
11 have these interference nodes. I called them  
12 pseudo-receptors initially and people hated that, so  
13 we just call them nodes now. But there is some  
14 process that if you activate that process, you cause  
15 the assays for that particular technology to light up.  
16 Really, all the model is doing is looking at different  
17 patterns of which assays light up and say, can I  
18 explain that pattern, either by true agonism, true  
19 antagonism, or one of these interference modes?

20 Essentially, we've laid out 13 or 14 --  
21 I don't remember the exact number -- of alternative  
22 hypotheses. The model tests each of those hypotheses  
23 and says, which one has the greatest evidence, and we  
24 assign that.

1           If you imagine we have a true agonist,  
2           it goes to the true agonist and it lights up all of  
3           those agonist assays. If we have a true antagonist,  
4           it lights up the top set and then the bottom left. If  
5           you have interference up here, it will only light up  
6           those two, so you will see that pattern of activity.

7           The model, this is the whole model.  
8           That's all there is to it, but let's go back here for  
9           a second and say, all right, if I have a true binder  
10          and it really binds here, I can now predict what these  
11          assays are going to do. I bind here, these assays  
12          turn on. That's sort of the forward model, or the  
13          true biology. But what we know is actually the assays  
14          and we need to guess what the receptor is.

15          The first equation it says, if I know  
16          what the receptors are, I can predict what the assay  
17          results will be. And the R here is simply how high up  
18          the activity curve you are for that assay. F is one  
19          if there is a black arrow connecting the assay and the  
20          receptor; and it's zero otherwise. We want to invert  
21          this thing and take the assays and guess the receptor.

22          The easiest way to do that is,  
23          essentially, the computer guesses the value for the  
24          receptors. It guesses, it's a little bit of this and

1 a little bit of that. And then it predicts what the  
2 assay results should be. Then what you do is you  
3 calculate the error, the difference between the  
4 predicted assays and what we actually measured. So,  
5 you just iterate over and over again, in a smart,  
6 gradient, driven way, and you minimize this error.  
7 You can finally drive it down to very low levels.

8 The error term -- so, we have a  
9 gradient minimization method that minimizes this  
10 error. It has the basic squared error, but then it  
11 has this penalty term. A technical issue here is this  
12 is what's called an underdetermined system.

13 We have many more variables, many more  
14 of these R's than we have assays, A. There are  
15 actually many solutions to the equations. They give  
16 the same minimum error. So, in a sense, we're free to  
17 choose those. And what we do is we say, we prefer  
18 solutions that have the chemical doing one or two  
19 things, rather than six or seven.

20 You might have a solution that has many  
21 of these receptors lit up, and it gives you a low  
22 error, or one that only has one of the nodes lit up.  
23 All this penalty term does is it drives a preference  
24 to the most parsimonious solution.

1                   Finally, once you have that -- and you  
2 do that at every single concentration and then you  
3 knit a curve together, and you calculate this area  
4 under the curve -- which is just the area under the  
5 curve, but it has this funny term -- I've lost my --  
6 it sort of wanders around. Anyway. It's a sign of  
7 the slope. I'll show you that graphically as I go  
8 along. It's easier to describe it with a picture.  
9 That's all the model is.

10                   Just another thinking, in a flow-charty  
11 way -- we do this separately for each chemical, and  
12 for each chemical we do it for each concentration. We  
13 take the measured assay values, the efficacy, how high  
14 up the curve you are, and we guess the initial value  
15 for the receptors and we just iterate until you  
16 minimize this error. Then you finally draw the  
17 concentration response curve.

18                   Here, this is an example of the actual  
19 assays. We see the assays rise up nicely -- and this  
20 is Bisphenol A in the actual antagonist mode. Then  
21 the composited antagonist curve is this red curve.  
22 That's what the model finally says, is that's the  
23 probability, if you like, of antagonism.

1           We have this other curve, the black  
2           one, which is one of the other modes. It's actually  
3           the binding -- the cell-free binding. It's the one up  
4           at the top of the pathway and it's just because,  
5           notice the black assays turn on a little earlier than  
6           some of the others.

7           The model says, okay, early on at low  
8           concentrations, maybe it's an antagonist, maybe it's  
9           this binding interference; but if you get up more and  
10          more, higher in concentration, the other assays turn  
11          on, the probability of this goes down. So the area  
12          under the curve, this sort of virtual area under the  
13          curve, you have a positive integral. Then as it turns  
14          down, it's a negative integral. In the end, this  
15          curve, this black curve, has an area under the curve  
16          of close to zero. Whereas, the red is relatively  
17          high.

18          Just a little more on what these curves  
19          look like. The antagonist curve is red, the agonist  
20          is blue. I'll show an example later on. We have lots  
21          of cytotoxicity assays. There are 33, I think, that  
22          are used here. We define a region where cytotoxicity  
23          is going on. We'll use that to qualify the results  
24          later on. That's this gray region. The red bar is

1 the center of that, where most of the cytotoxicity is  
2 happening.

3 The green bar is what we call the  
4 pseudo-AC50. The simplest models, you just take the  
5 assays and you just add them all together and say,  
6 where is the average log AC50? That's what this green  
7 bar is. You can see it can be -- it's not going to  
8 necessarily be at the same point halfway up the  
9 antagonist curve.

10 Finally, the area of the curve. It  
11 really is just the integral under this -- there is an  
12 integral under the red curve, there is an integral  
13 under the black curve. There is no magic about that.  
14 It's just calculate how much is under there. It's  
15 roughly going to be proportional to the log of the  
16 AC50.

17 The model scoring -- so, now we have  
18 these AC50s. We calculate one for the agonist, one  
19 for the antagonist, one for all of the interference  
20 modes. Roughly say, if the AUC for agonist or  
21 antagonist is greater than 0.1, we're going to call it  
22 positive for that mode. If it's less than 0.001, it's  
23 definitely negative. If it's in the middle, it's  
24 inconclusive. We can't finally say yes or no for all

1 of these chemicals. There are a bunch in that middle  
2 region.

3           Again, there is nothing magic about  
4 this 0.1. You can make kind of a theoretical argument  
5 that 0.1 is about -- corresponds to an AC50. If all  
6 of the assays were positive with an AC50 of 100  
7 micromolar, the AUC would be about 0.1. Since we test  
8 our assays up to 100 micromolar, if you're above 0.1,  
9 we have no idea what's going on there. We just don't  
10 have any evidence.

11           I've mentioned that antagonist  
12 cytotoxicity is a confounder, so we do two kinds of  
13 cytotoxicity filtering. The first is the antagonist  
14 assays, which are both run at NCGC up in Rockville.  
15 It's an NIH lab. They ran a concurrent cytotoxicity  
16 assay. It's essentially run the same time, the same  
17 day.

18           If the AC50 for the assay -- the  
19 antagonist assay -- was greater than for the  
20 cytotoxicity assay -- so, cytotoxicity turns on first  
21 and then the antagonist, we would just call it  
22 inactive and set the AC50 to a million and the top to  
23 zero. We filter those out. That's a hard cutoff, but  
24 those assays, like every other assay, are not perfect.

1 We find lots of cases where cytotoxicity assays can  
2 have false positives and false negatives also.

3 Then we do general cytotoxicity  
4 filtering. This was all explained in a paper from our  
5 group two or three years ago. We showed that looking  
6 across 1,000 chemicals with hundreds of assays, that  
7 all chemicals, if you get up to the cytotoxicity  
8 limit, you have this big burst of activity. Lots of  
9 assays turn on. Probably having nothing to do with  
10 the receptor they're trying to measure. We have a  
11 hypothesis about why that is. What we do is we  
12 calculate this z-score which I'll illustrate.

13 A low z-score essentially means the  
14 activity is happening in the region where cytotoxicity  
15 is happening, so you should proceed with caution. A  
16 high z-score means that at least cytotoxicity can't be  
17 the explanation for false activity. So, we'll put  
18 that into what we call the confidence score.

19 This is just an illustration of this.  
20 Here we have three example chemicals, and every  
21 chemical, if you just plot a histogram of where the  
22 hits -- where the AC50s are, just plot that, you see  
23 this burst of activity. We saw that as just a  
24 phenomenon that was very common. Then we realized

1 that that burst of activity is happening at the  
2 concentration where cytotoxicity is happening. We  
3 were able to actually just take the cytotoxicity assay  
4 and predict where you would have this burst of  
5 activity going on.

6 We certainly had many examples of  
7 chemicals that lit up; estrogen, androgen, and other  
8 assays that couldn't be estrogens or androgens. We  
9 think it's really because of this cytotoxicity  
10 false-positive effect. We see chemicals without the  
11 burst and without cytotoxicity, and we just presume  
12 that that's occurring at concentrations above 100  
13 micromolar where we don't test.

14 This is the concentration micromolar  
15 scale. What we do is we simply shift all of these  
16 curves so that the center of cytotoxicity is zero, and  
17 we call that the z-scale. This is actually not  
18 correct. The zero should be here on the scale instead  
19 of the minus three. But then the gray area, the  
20 cytotoxicity area goes over, essentially, three  
21 standard deviation, or three median absolute deviation  
22 away from the center.

23 So, just a kind of hand-waving  
24 explanation of why you get this false activity in, for

1 instance, the androgen receptor assay. Imagine you  
2 have a transcription assay, and so you have the actual  
3 receptor, you put a chemical in, the chemical binds to  
4 the receptor; and what this does is it, again, it  
5 forms the transcription factor, it binds to the DNA,  
6 it causes activation of a particular gene. These  
7 cells have been engineered so if that gene is  
8 activated, you get a red glow or a green glow. That's  
9 how these assays work.

10 Now you have some chemical which does  
11 not bind to the assay. You put a lot of it in there,  
12 enough that it makes the cells really sick. As cells  
13 get sick, they regulate all sorts of activity trying  
14 to -- maybe they're going through apoptosis, maybe  
15 they're trying to respond to that stress and trying to  
16 recover from that and so on. All sorts of genes are  
17 turned on that are not necessarily turned on because  
18 of the particular transcription factor that they were  
19 supposed to be activated with.

20 Accidentally, you turn on that gene  
21 that has the reporter attached to it, and so you get  
22 this sort of accidental activity that has nothing to  
23 do with binding. We see chemicals that in that region  
24 are causing oxidative stress, they're reacting with

1 DNA, they're reacting with proteins, they're goofing  
2 up the mitochondria and so on. Lots of things are  
3 going on in that cell stress region.

4           How we do the cytotoxicity, we actually  
5 have 33 assays with multiple cell lines and primary  
6 cells, and we look at direct cytotoxicity as well as  
7 -- we have proliferating cells and it's the rate of  
8 proliferation just coming down. Chemicals deemed  
9 cytotoxic -- if two or more assays were active, then  
10 we calculate the cytotoxicity median, which is the  
11 median of the log, which is the red band. And then we  
12 have the MAD, median absolute deviation. I can go  
13 into that in more detail than you care for. This gray  
14 region is 3 MAD from the median. That's how that is  
15 done. I think I'm almost done with the methods  
16 finally.

17           Okay. So, confidence scoring. We  
18 calculate the AUCs, which is very automated and there  
19 is just a formula that does that. But then we have  
20 these other factors that are especially important for  
21 the antagonist. We have the concurrent cytotoxicity I  
22 already talked about. We have this general cell  
23 stress, the z-score. We have the antagonist  
24 confirmation data, which is this next point.

1           So, what we did is, we took one of the  
2 antagonist assays and we ran with two different  
3 concentrations of the reference agonist. Again, the  
4 antagonist assay, you just take an agonist assay, you  
5 turn it on with an agonist, and then you put an  
6 antagonist in which displaces the agonist and brings  
7 the response down.

8           If you have more of that reference  
9 agonist, it takes more of the antagonist to have an  
10 effect. You get right shifted. You shift your AC50s  
11 to higher concentration if you have higher responses.  
12 A true antagonist, you will see the shift in its AC50  
13 between the low and the high -- in this case, R1881  
14 concentration. Whereas if the activity is being  
15 caused by cytotoxicity, the AC50 should be exactly the  
16 same. It has nothing to do with that. Or by some  
17 other kind of assay interference. You want to have  
18 the shift in the right direction for a true  
19 antagonist.

20           Finally, the confidence score is if you  
21 have the -- and this is all for the antagonist. The  
22 AUC for antagonism,  $R^2$  is greater than 0.1, you get  
23 two points. If it's in that ambiguous region, you get  
24 a point. If the average z-score is greater than three

1 -- which means on average, all of the assays are  
2 turning on outside of the cytotoxicity region -- you  
3 get a point. If you get the true shift, you get three  
4 points. That's really confirmatory that you're going  
5 in the right direction. You see the good dose  
6 response curves for both concentrations.

7 You can actually -- if a chemical is  
8 pretty weak, the second concentration of R1881 may  
9 have shifted it so high that we don't actually see  
10 that. If you go from a hit that's pretty weak, to a  
11 no hit as you add R1881, we give it two points. It's  
12 still probably going in the right direction.

13 If you get a shift that's in the right  
14 direction, but again, there is uncertainty in the  
15 actual potency of the chemical, we still give it a  
16 point if it's going in the right direction. But you  
17 can't definitely say that one is greater than the  
18 other. Finally, if it's going in the wrong direction,  
19 we take a point away. A chemical can have a score  
20 from negative one to six.

21 Any questions on lots of detail on the  
22 methods? Any questions there before I go on? Yes,  
23 ma'am?

1                   **DR. KRISTI PULLEN FEDINICK:** I had a  
2 question about your NovaScreen. Did you run those  
3 samples -- the 40 micromolar samples -- did you have  
4 replicates of that, or is it a single run at 40  
5 micromolar and then --

6                   **DR. RICHARD JUDSON:** It's run in  
7 duplicate.

8                   **DR. KRISTI PULLEN FEDINICK:** In  
9 duplicate, okay. Do you ever sample from the  
10 chemicals that didn't pass that screen? Looking at,  
11 say, the non-concentration response chemicals, and  
12 then go back just to make sure that that 40 micromolar  
13 is correct?

14                   **DR. RICHARD JUDSON:** We did that, not  
15 necessarily for this assay. Again, we tested a  
16 thousand chemicals by 300 assays there. We took a  
17 random collection of negatives. All of the assays  
18 were run in this 40 micromolar. We missed something.  
19 I don't know whether it's a few percent, so we could  
20 have missed things, yes.

21                   **DR. KRISTI PULLEN FEDINICK:** One final  
22 question. Did the NovaScreen act as a screen for the  
23 other assays? So, you just ran those in the single  
24 concentration and then -- okay.

1                   **DR. RICHARD JUDSON:** Right, so every  
2 other assay was -- all the assays were run totally  
3 independently.

4                   **DR. KRISTI PULLEN FEDINICK:** Great,  
5 thank you.

6                   **DR. JAMES MCMANAMAN:** Other questions?  
7 Marion?

8                   **DR. MARION EHRICH:** A basic  
9 pharmacology question. It looks like everything here  
10 was strict competitive antagonism?

11                   **DR. RICHARD JUDSON:** Yes, I think  
12 that's true, right.

13                   **DR. MARION EHRICH:** There can be other  
14 kinds sometimes.

15                   **DR. RICHARD JUDSON:** We would welcome  
16 suggestions on what else to look for.

17                   **DR. IOANNIS ANDROULAKIS:** Just a  
18 clarification regarding the first example that you  
19 showed. Is there any way -- or how do you  
20 differentiate between a non-specific massive event  
21 like what you described, and maybe an indirect or a  
22 more complex mechanism that might lead to an  
23 activation of a more non-specific event that will  
24 actually have a measurable end result?

1                   **DR. RICHARD JUDSON:** Do you know what?  
2                   I spent probably a year or 18 months trying to solve  
3                   that problem. The assays are all of these different  
4                   technologies and they're happening at slightly  
5                   different concentrations. And so saying, what happens  
6                   first and what happens second -- which is part of that  
7                   -- the data is just too noisy to really sort that out.  
8                   It's an interesting question.

9                   **DR. JAMES MCMANAMAN:** In your assays, I  
10                  think you start off by taking those compounds that you  
11                  feel have the structure to be an agonist or an  
12                  antagonist. Is that incorrect?

13                  **DR. RICHARD JUDSON:** That is incorrect.  
14                  This was the -- I'll just tell you a little bit --  
15                  maybe this is good context for the 1,800 chemicals.  
16                  The first 300 were all the pesticide  
17                  actives which we tested because we had good in vivo  
18                  data for. And again, this whole effort was not done  
19                  to do EDSP. We're doing broad screening. All the  
20                  pesticide actives, and in the next thousand were -- as  
21                  many more chemicals as we could find that had in vivo  
22                  data of any kind, as well as some pharmaceuticals as  
23                  good references.

1                   The second 800 were almost all  
2 chemicals taken from the EDSP list, the 10,000 list.  
3 We tried to get down as deep as that. But there was  
4 no selection for things that we thought might be ER or  
5 AR active.

6                   **DR. JAMES MCMANAMAN:** Thank you.

7                   **DR. SCOTT BELCHER:** Could you comment  
8 about the sensitivity of your confirmation assay?  
9 Your R1881 in the high dose is probably about 50-fold  
10 higher than --

11                  **DR. RICHARD JUDSON:** Something like  
12 that.

13                  **DR. SCOTT BELCHER:** There is some  
14 concern that this confirmation assay is going to be so  
15 insensitive that you're going to be pushing it with  
16 your confirmation flags that you have.

17                  **DR. RICHARD JUDSON:** Right, and truth  
18 in lending here or something. The high dose was  
19 actually an accident and so, it was then pointed out  
20 to us that, oh, you shouldn't have tested so high. So  
21 we went back and tested it low, but realized, okay,  
22 this was actually kind of a cool -- we could do a cool  
23 experiment, given those two concentrations. The high

1 dose is not the ideal high dose concentration to use  
2 if we were planning this a priori.

3 **DR. SCOTT BELCHER:** Just to follow up,  
4 I would agree that it's not a good experiment or a  
5 good approach to be using and that decrease in  
6 sensitivity may be problematic.

7 **DR. RICHARD JUDSON:** Right, but having  
8 recognized this was a good thing to do if you do it  
9 right, all of the new antagonist assays being run at  
10 NCGC are being run with two concentrations -- not  
11 necessarily quite as insensitive -- the high is not  
12 quite as insensitive as we've run here.

13 **DR. SCOTT BELCHER:** We still have the  
14 problem that only the really strong antagonist would  
15 have the three points, I think in your scale, and then  
16 you would be losing sensitivity in that.

17 **DR. RICHARD JUDSON:** No, so you'll just  
18 have two points. You have the high dose and the low  
19 dose. AC50 is for antagonism. It's the ones in the  
20 middle that are -- actually it's the ones that are  
21 really potent and in the middle. The only ones you  
22 lose are the ones that are really weak to begin with.

23 **DR. KRISTI PULLEN FEDINICK:** I had a  
24 question also about the chemical space. You're only

1 looking at chemicals that are soluble in DMSO, is that  
2 right?

3 **DR. RICHARD JUDSON:** Yes, that is a  
4 limitation. They can't be volatile, yes.

5 **DR. KRISTI PULLEN FEDINICK:** So, then  
6 chemicals, say, that would fall under the Safe  
7 Drinking Water Act that might be soluble in only  
8 water, how do you think that this model would work for  
9 those types of chemicals if you're only testing DMSO  
10 soluble ones? Do you think it's applicable to  
11 chemicals that would fall outside of the limitations  
12 of ToxCast?

13 **DR. RICHARD JUDSON:** That's a broad  
14 question. A lot of these chemicals, a lot of them are  
15 water soluble. They have to be DMSO soluble, but that  
16 doesn't exclude being water soluble.

17 Chemicals which are not DMSO soluble,  
18 we have talked for years about having a bunch of these  
19 assays run in water. Some of them we've at least done  
20 a little bit of testing, but it just hasn't been a  
21 high enough priority to do. In principle, there is no  
22 reason you can't do that.

23 **DR. KRISTI PULLEN FEDINICK:** Can I just  
24 follow up? But the current assay does allow for water

1 solubility, alcohol solubility, and DMSO solubility?

2 The current EDSP Tier 1 test?

3 **DR. RICHARD JUDSON:** Yes, right.

4 **DR. KRISTI PULLEN FEDINICK:** You could  
5 do all the solubility? So ToxCast is more limited  
6 than the current assay?

7 **DR. RICHARD JUDSON:** Current  
8 implementation assay, yes.

9 **DR. KRISTI PULLEN FEDINICK:** Great,  
10 thank you.

11 **DR. THOMAS ZOELLER:** To follow up on  
12 Dr. Ehrich's point, the way you do antagonist assay is  
13 have a single dose of an agonist and multiple doses of  
14 test chemical?

15 **DR. RICHARD JUDSON:** Correct.

16 **DR. THOMAS ZOELLER:** If that test  
17 chemical has an allosteric mechanism, if it's a  
18 non-competitive, let's say, inhibitor, you could  
19 predict what that would look like. It wouldn't look  
20 like a traditional kind of competitive inhibitor. It  
21 might be useful to look at those data sets that are  
22 kind of weird and ambiguous; to flip that around and  
23 do a single concentration of test chemical and

1 multiple concentrations of agonist. It changes the  
2 affinity basically.

3 **DR. RICHARD JUDSON:** Right, and I don't  
4 know whether that's part of the mandate of the current  
5 SAP and this meeting. I would certainly be interested  
6 in hearing some chemicals that we would expect to  
7 behave like that, yes.

8 **DR. GRANT WELLER:** I just had a  
9 question on your model fitting procedure. It appears  
10 that you're fitting different -- you're estimating  
11 receptor values at different concentrations of a given  
12 chemical independently. As just a non-biology expert,  
13 I'm wondering is that -- what's the reason for doing  
14 that as opposed to doing some kind of maybe smoothing  
15 over different concentrations. Can you comment on  
16 that?

17 **DR. RICHARD JUDSON:** A technical  
18 detail. Every one of these different assays was run  
19 at different concentrations. Some did an eight-point  
20 concentration response, some did a twelve-point, some  
21 did a six-point, and they didn't all line up. I  
22 actually had to do a smoothing before that. I took,  
23 for each assay, the AC50s, the hill slope, and the  
24 top. Some people at least understand those.

1           You have the actual assay data which is  
2 somewhat noisy, and you have this smooth curve going  
3 through that. Then what we did was we interpolated,  
4 we chose a set of 12 or 14 concentrations and we  
5 interpolated to get a smooth version of the curve that  
6 goes for each of those concentrations.

7           The real answer to your question, this  
8 was a simple way to do it. Do it one concentration at  
9 a time, these interpolated concentrations, and then  
10 knit things back together again. I'm sure there would  
11 be some more sophisticated way that sort of does it  
12 all at once, but -- you know.

13           **DR. REBECCA CLEWELL:** My question --  
14 and you may be getting to it, so I apologize if I'm  
15 rushing you. What I was wondering is, after you've  
16 done this confidence scoring, how is that applied to  
17 your decision-making context in terms of if this is or  
18 is not androgen active?

19           **DR. RICHARD JUDSON:** Yes, why don't you  
20 hold that, and there is at least a chance I'll answer  
21 that.

22           **DR. REBECCA CLEWELL:** Okay.

23           **DR. JAMES MCMANAMAN:** I'm just looking  
24 over the next set of slides and I'm wondering -- we're

1 scheduled for a break in about a half an hour. It  
2 looks like that there is a break and then there is a  
3 very short presentation by Dr. Bever, and then we  
4 break for lunch. I'm wondering, does anyone have a  
5 strong feeling one way or another, if we should break  
6 now and then go for the next set? Does that make  
7 sense?

8 We'll have a 15-minute break now and  
9 then we'll continue on. It seemed like a good place  
10 to do this.

11 **[BREAK]**

12 **DR. JAMES MCMANAMAN:** Welcome back.  
13 Before we move on, the statisticians and the  
14 mathematicians in the group have some clarification  
15 questions about the models. It seems an appropriate  
16 time to do that, if that's okay. Go ahead.

17 **DR. VERONICA BERROCAL:** Thank you. I  
18 guess we -- at least I felt that it was not maybe an  
19 important question to ask before, but now I really  
20 would like to understand what are the specifics of  
21 this model. I am confused about slide 48.

22 **DR. RICHARD JUDSON:** Let's see if I can  
23 figure out how to get to slide --

1 DR. VERONICA BERROCAL: It's just on  
2 the next slide.

3 DR. RICHARD JUDSON: The next slide,  
4 okay, yes.

5 DR. VERONICA BERROCAL: I'm just  
6 confused about these curves that I -- these two  
7 panels. So, the panel on the left it says, assay  
8 data. I counted eight curves?

9 DR. RICHARD JUDSON: I wish I had my  
10 reading glasses on.

11 DR. VERONICA BERROCAL: I guess I'm  
12 just confused about -- so, do each of these curves  
13 correspond to a different node?

14 DR. RICHARD JUDSON: No.

15 DR. VERONICA BERROCAL: Yes, I guess  
16 I'm just confused about this.

17 DR. RICHARD JUDSON: So, each curve  
18 corresponds to a different assay.

19 DR. VERONICA BERROCAL: But then there  
20 are 11 assays and I only see --

21 DR. RICHARD JUDSON: Right, so they're  
22 not all on. Notice that the -- we test up to 100  
23 micromolar, and so as we're starting to shift -- maybe

1 some of them are not active, but let's go back to this  
2 slide.

3 For an antagonist, we should light up,  
4 one, two, three, four, five, six, seven. So a true  
5 antagonist should light up the purple ones here, only  
6 seven. A true agonist should light up, one, two,  
7 three, four, five, six, seven, eight, nine. So that's  
8 clear?

9 **DR. VERONICA BERROCAL:** Right. And so  
10 the N receptor will be two. In the previous slide  
11 that you have here, X equal to N receptor and receptor  
12 is two.

13 **DR. RICHARD JUDSON:** Sorry?

14 **DR. VERONICA BERROCAL:** So, in that  
15 equation where it says, X equal to sum from one to N  
16 receptor.

17 **DR. RICHARD JUDSON:** Right.

18 **DR. VERONICA BERROCAL:** The N receptor  
19 would be just two. You have R1 and R2.

20 **DR. RICHARD JUDSON:** No, so the N  
21 receptor is --

22 **DR. VERONICA BERROCAL:** Either five or  
23 nine.

1                   **DR. RICHARD JUDSON:** It's actually --  
2                   so, there is one, two, three, four, five, six, seven,  
3                   and there is an eight, a nine, a ten, and then there  
4                   are a bunch of others. So, the number of these  
5                   pseudo-receptors or nodes is -- there is one for each  
6                   group of assays, and then there is one for each assay  
7                   individually.

8                   **DR. VERONICA BERROCAL:** I see. And  
9                   then I guess the other question -- again back on slide  
10                  48 -- is once these individual assay curves are  
11                  generated, how do you get to the two curves that you  
12                  see on the right panel?

13                  **DR. RICHARD JUDSON:** Right. Again,  
14                  this is the assay data. And recall, these are these  
15                  interpolated, smoothed curves, okay? What the model  
16                  goes through and it's testing -- sorry, to go back  
17                  here, there are 15 or 16 of these R's. We're actually  
18                  developing a -- the computer is guessing the value of  
19                  each concentration, the value for those, call it 16,  
20                  of those R's. Then it finally, at the end, says, most  
21                  of those get driven down to zero.

22                  What we show here are actually all 16  
23                  of those curves are there, but most of them are just  
24                  sitting at zero. The only two that have any weight

1 are the two that are shown here. Again, if we didn't  
2 use the penalty term, you would see more of those kind  
3 of hovering close to, but not equal, to zero. So,  
4 that penalty term drives most of those zeros down to  
5 zero.

6 **DR. VERONICA BERROCAL:** Thank you.

7 **DR. MICHAEL PENNELL:** Maybe I'm getting  
8 this a little slower. Could you go back to the  
9 previous slide and kind of just walk through some of  
10 the systems of equations, like A1, A2. Just help me  
11 understand exactly what the system is that you're  
12 solving.

13 **DR. RICHARD JUDSON:** Do you all have  
14 your hard copies of the slides?

15 **DR. MICHAEL PENNELL:** Yes.

16 **DR. RICHARD JUDSON:** Pull up the hard  
17 copy of the slide that has all of those assays listed.  
18 Pull up slide 41. I won't go back to that. If you  
19 care, look at that.

20 The first column is the number. A1 is  
21 the first assay, A2 is the second assay, and so on.  
22 We have 11 As, and we have -- again, I don't remember  
23 -- I think it's 16 Rs. Computationally -- and this is  
24 using a constrained gradient optimization method, so

1 it's R, it's optim -- X, you know, if you want to know  
2 real details. So, you give it this set of equations,  
3 and then the F matrix is that connectivity matrix.

4 The penalty function, it's simply, you  
5 hand it -- the true -- you're doing this all one  
6 concentration each time. The true As for those 11 As,  
7 then it uses its current value of the 16 Rs. It does  
8 this matrix multiplied to get the predicted As. And  
9 then given the Rs, it does this penalty -- calculates  
10 the penalty term from the R vector. You may be trying  
11 to make more out of this than there is.

12 **DR. MICHAEL PENNELL:** I think I'm still  
13 missing this connectivity matrix.

14 **DR. RICHARD JUDSON:** Look at the  
15 picture. So, the connectivity matrix is if there is  
16 an arrow -- one of these black arrows -- between a  
17 node and an assay, then F is one. If there isn't a  
18 direct line, F is zero.

19 For instance, between -- this is a good  
20 example. Between this node and this assay, there is  
21 no connection. The F would be zero between those two.  
22 Whereas between this node and these assays, F is one.

23 **DR. MICHAEL PENNELL:** I think why I'm  
24 confused is -- do the Ns here correspond to what

1 you're calling Rs in the equation? I mean, I'm a  
2 little confused with the notation, I guess.

3 **DR. RICHARD JUDSON:** Yes. I'm sorry.  
4 Again, this is something that -- it's this committee's  
5 fault, right? The last time when we did the estrogen  
6 receptor, I called all of these pseudo-receptors, and  
7 so they were all Rs. You guys complained about it,  
8 and so they became nodes. The problem is, some of the  
9 terminology didn't go from R to N. N and R are the  
10 same thing. Sorry.

11 **DR. IOANNIS ANDROULAKIS:** Just one  
12 quick, maybe two technical questions. I'm trying to  
13 understand, so basically what you're doing is you're  
14 solving this problem for different doses and the hope  
15 is that as you increase the dose you should start  
16 seeing more of these R stars lighting up? Because  
17 then you go down the pathway?

18 **DR. RICHARD JUDSON:** Right.

19 **DR. IOANNIS ANDROULAKIS:** Then at the  
20 same time, you're solving a very highly non-convex  
21 problem. And as you say, you're sort of penalizing,  
22 so there is no particular reason why certain nodes  
23 should be consistently on or off.

1           Out of curiosity, how do you maintain  
2 this sort of continuity? As you move along the doses,  
3 how do you make sure that the way these Rs light up is  
4 because of the dose sort of pushing the pathway down,  
5 as opposed to hitting different minima?

6           **DR. RICHARD JUDSON:** There are actually  
7 two answers to that. First, if we go back to this  
8 pathway, there is no biological expectation that low  
9 doses hit this and then as you get high doses, you hit  
10 this. All of these should turn on at exactly the same  
11 concentration. The fact that these assays don't turn  
12 on at the same time, that's just an assay technology  
13 issue. Some assays are just more sensitive than  
14 others. There is no correlation between when this  
15 turns on and going down the pathway.

16           But then you say, why on earth is this  
17 red curve smooth? It turns out, the model is --  
18 essentially we're modeling in a very simple way the  
19 real biology and the real biology is smooth. I was  
20 pleasantly surprised to see that those curves turned  
21 out to be smooth. The model would have failed if that  
22 hadn't been true. All we're doing is really  
23 essentially doing a fancy averaging of these smooth  
24 curves, and so the result has to be smooth.

1                   **DR. JAMES MCMANAMAN:** All right. That  
2 was Dr. Androulakis' question and Dr. Judson answering  
3 all of those questions.

4                   I think if we're ready to go, I think  
5 we should move onto the next -- Dr. Pennell?

6                   **DR. MICHAEL PENNELL:** I have one more  
7 quick question. You didn't give the expression for  
8 the z-score, but what I'm seeing in the white paper  
9 kind of confuses me. It's just a positive/negative  
10 issue.

11                   If it's something that's not -- if the  
12 AC50 is significantly both cytotoxic range, you should  
13 get a highly negative z-score, right?

14                   **DR. RICHARD JUDSON:** Correct.

15                   **DR. MICHAEL PENNELL:** If you're taking  
16 the log AC50 minus the log AC50 for cytotoxicity,  
17 right?

18                   **DR. RICHARD JUDSON:** Right. If you  
19 look here, these assays have negative z-scores. Z-  
20 scores can be positive or negative. All we care about  
21 is, are you really positive, are you zero or worse?

22                   That obviously didn't answer the  
23 question.

1                   **DR. MICHAEL PENNELL:** Hold on. I don't  
2 think I announced my name. This is Michael Pennell  
3 from Ohio State again.

4                   If you only care about the high z-score  
5 -- so, the way the methodology is written, is you're  
6 flagging ones that have a z-score of greater than  
7 three. And you're saying that that has a cytotoxicity  
8 -- excuse me, has an activity which is below the  
9 expected range for cytotoxicity, right?

10                  **DR. RICHARD JUDSON:** Correct.

11                  **DR. MICHAEL PENNELL:** It's just a  
12 computation issue, right? If you're taking the log  
13 AC50 for the chemical, minus the log AC50 for  
14 cytotoxicity, you should get a negative value, not a  
15 positive value?

16                  **DR. RICHARD JUDSON:** Okay, you're  
17 right.

18                  **DR. MICHAEL PENNELL:** It's like that in  
19 your publication too and it really confused me.

20                  **DR. RICHARD JUDSON:** There should be a  
21 negative -- there is a missing negative sign, sorry.

22                  **DR. MICHAEL PENNELL:** I just wanted to  
23 clarify.

1                   **DR. JAMES MCMANAMAN:** If there aren't  
2 any other questions, I think we're ready to move on.

3                   **DR. RICHARD JUDSON:** I hope everybody  
4 has seen heat maps before. Here we have -- these are  
5 actually the nodes. They're not pseudo-receptors,  
6 they're nodes. There is -- one, two, three, four,  
7 five, six, seven, eight, nine, ten, eleven, twelve,  
8 thirteen, fourteen, fifteen, sixteen -- there's  
9 seventeen. I guessed wrong.

10                   So, there is the agonist, which is R1,  
11 the antagonist, which is R2. R3 through R7, which are  
12 these nodes that have to do with specific groups of  
13 assays, and then there is a pseudo-receptor or node  
14 for each of the individual assays. Chemicals are on  
15 this axis, and we're only showing the 763 chemicals  
16 that are active in at least one assay. So, there are  
17 another 1,100 which are just blank.

18                   The color dark red has a high AUC, a  
19 light red has a low AUC. This is the band -- these  
20 are the agonists. There aren't a lot of them. There  
21 are some that are really, really potent down here.  
22 There are a few up here that have some activity. It  
23 could be an agonist or it could be an antagonist. And  
24 again, remember whichever one has the highest AUC

1 wins. Those are the agonists. Then the antagonists  
2 are mostly this band. There are a lot of antagonists,  
3 a lot of which are not true. That's why we are going  
4 to use the confidence score to try and filter those.

5 Then if we look at a given set of  
6 chemicals to think about assay interference, so this  
7 is a set of chemicals which is only active in assay  
8 All, so that transactivation assay. The AUC all goes  
9 to that assay and so we can say, no, because they're  
10 active in that assay does not mean they're androgenic,  
11 that's just false activity.

12 Then there are these chemicals where  
13 they are active -- they have some potency in both, and  
14 again you can't really see it very well, but this  
15 particular chemical could be an All, or it could be an  
16 antagonist. So, it's obviously active in some other  
17 assays, but if you actually look at it, it's darker  
18 red in All than in antagonist. That's a chemical  
19 which the model has said, you know what, we think it's  
20 an assay interference and it's not a true antagonist.  
21 It would move over into that column.

22 You've seen some of these curves  
23 before, but this is an example of a true agonist. We  
24 haven't seen any agonists before. Testosterone

1 propionate, the assays are quite potent, but notice  
2 again, there is a lot of variability in the potency of  
3 the assays, but all the assays are turning on well  
4 below where cytotoxicity happens. You can just barely  
5 see the agonist curve, the agonist consensus curve,  
6 this blue thing up here.

7 Then this is a true antagonist. Again,  
8 there is variability in the assay potency and then you  
9 get this red curve for the antagonist. Then there is  
10 this alternative, some other mode, which has some  
11 probability. But it goes up and it comes back down  
12 again.

13 Here is an example of a narrow assay  
14 interference. This is PFOS and it's actually lighting  
15 up two of the three -- these radioligand binding  
16 assays. But it doesn't light up anything. It's very  
17 clear that there is some -- somehow that -- I can make  
18 guesses at what PFOS is doing to those cell-free  
19 assays. It's interfering somehow with that. But it  
20 only interferes with those assays, because PFOS is  
21 able to get right to the receptor, whereas maybe it  
22 can't even get into the cells.

23 This is this confidence scoring that  
24 can, again, go from negative one to six. This is

1 looking at a correlation between the confidence score  
2 on the X-axis, and the antagonist AUC on the Y-axis.  
3 And so you see things that have high AUC also tend to  
4 have high confidence scores. But what we really are  
5 using the confidence score is to deal with these  
6 issues, things which have really high AUCs, but really  
7 have low confidence scores. These are mainly the ones  
8 that are going to end up getting filtered out.

9 Apologies. I'm shifting gears here.  
10 This is a new concept, but something that was asked  
11 for last time around to quantify uncertainty. We  
12 don't really use this anyplace here, but just to point  
13 out that we've actually done this and are thinking  
14 about how we would incorporate this.

15 The basic approach is, with the model I  
16 told you before, for each chemical we have 11 assays  
17 and each of those assays has six or twelve  
18 concentration points at each concentration. We have  
19 two or three replicates. We fit the curves and then  
20 we build the model from that.

21 Here what we do is we say, okay,  
22 there's really -- if you only had five of the six  
23 concentrations, you only had that data and you fit the  
24 curve, you would get a different AC50. You might even

1 decide it was not a hit. You're kind of borderline.  
2 Maybe it goes from a hit to a non-hit.

3           What we did here, it's kind of the  
4 simplest -- what I think is the simplest way to do  
5 uncertainty quantification -- is for every curve, for  
6 every chemical, we do a bootstrap. It means that we  
7 draw 80 percent of the data for the chemical, and we  
8 refit the curve. And we do that a thousand times.  
9 Now for every chemical, every assay, we have a  
10 thousand different values of the AC50 and is it a hit  
11 or not a hit.

12           Then for those thousand replicates, we  
13 go rerun the whole model. And so now, the AUC changes  
14 as you change the underlying data. We actually have  
15 published -- we have a publication under review at a  
16 journal on the ER model. This will get published at  
17 some point. This is just an illustration of what you  
18 get out of this. This is the AUC, the order of the  
19 initial model. And it's whatever you actually have,  
20 the black dots with the antagonist and orange dots for  
21 the agonist. You will have both a black dot and an  
22 orange dot for each chemical. We have many more  
23 antagonists -- all the antagonists, these black ones.  
24 And for the most part, the agonists are down here

1 below. We can see the confidence intervals around the  
2 point estimate.

3 One comment we got the last time is, I  
4 don't like that 0.1; be more precise about the 0.1  
5 cutoff. What this shows is it's somewhere around 0.1,  
6 because the whole uncertainty of the model can be  
7 relatively large. Another way you could add  
8 confidence to your score is you say, okay, is the  
9 integral over the confidence interval, is it mostly  
10 above 0.1 or mostly below 0.1? So you could eliminate  
11 some of these kind of borderline ones, because it only  
12 peaks above 0.1 for most of your thousand replicates.  
13 It's a technique we've developed and I can talk about  
14 more at another venue.

15 Another comment that came up from the  
16 previous committee, and two public commenters are very  
17 concerned about this AUC versus potency. So, this was  
18 not in the white paper, but it was important enough to  
19 point this out. People say, you give me an AUC, but I  
20 can't use that in a quantitative risk assessment  
21 because that's not potency. But our response to that  
22 is the AUC and the confidence scores are being used in  
23 the prioritization.

1           It's really saying, do we believe this  
2 chemical is active against the androgen receptor or  
3 not? Once you decide, yes it is, then you just go  
4 back to the raw data and you can figure out what the  
5 potency is for your quantitative risk assessment.

6 That's really the main approach to that. All of that  
7 data is publicly available. The potency values for  
8 all of the assays are in the supplemental data.

9           But the public comments had shown, oh,  
10 there is no correlation, but I had to go back and redo  
11 the arithmetic and it turns out -- this has to be true  
12 -- that there is a strong linear relationship between  
13 the AUC and the average AC50 for the assays. By  
14 construction that has to be true, because the AC50 is  
15 really kind of naturally on a log scale. So, you have  
16 to compare the log of the AC50s with the AUC.

17           The antagonist, you still see this  
18 linear relationship. There is this group of chemicals  
19 down here which have -- and notice the coloring is  
20 really confident ones, with high confidence score, are  
21 red; this intermediate, kind of iffy, is blue; and  
22 then the really low confidence, you don't believe, are  
23 white. Then interference ones are chemicals that have  
24 an AUC for antagonism, but some other node has a

1 higher AUC. So we wouldn't call that an antagonist at  
2 all. Most of these that are off diagonal are either  
3 some other node wins, or they're really low confidence  
4 score.

5 If you zoom in on this area close to  
6 the AUC of 0.1 -- I haven't quantified this, but the  
7 number of reds, highly confident ones, is in the small  
8 minority. There are lots of blues, whites, and blacks  
9 there. This really addresses the issue of AUC and  
10 potency really are correlated. But if you want  
11 potency, you have to go back to the raw data.

12 Now we're actually getting to the meat  
13 of the issue and addressing the charge question, is  
14 this approach good enough to actually be used as an  
15 alternative in Tier 1.

16 What we're looking at here is -- let's  
17 focus on the agonist for the moment. This is the AUC.  
18 These are all of the reference chemicals from -- it  
19 seems like hours ago, we talked about with their  
20 potency. A circle is green if the reference set said  
21 it's positive, and it's red if the reference set said  
22 it's negative. I specifically called out this  
23 ambiguous region.

1           We see most of the greens -- in fact,  
2 all of the greens are well above 0.1, so there are no  
3 false negatives. There are a couple of ones the  
4 literature said are negative -- one that's relatively  
5 high potency on the AUC scale, and one that's in this  
6 ambiguous region. On the antagonist, almost all of  
7 the literature positives are positive, well above 0.1.  
8 There are a couple in the ambiguous region. Then  
9 there is one in the -- that's called negative. It's  
10 important to dig in and say, why are we missing the  
11 things we're missing? I'll go into that in a bit.

12           Looking at the statistics, predictivity  
13 statistics, and focusing on the balanced accuracy, if  
14 we put the ambiguous chemicals into the positive class  
15 -- sort of err on the side of caution -- then we get  
16 the balanced accuracy of 0.95/0.97. Actually, very  
17 high and probably higher than most of the -- at least  
18 as high as typical assays that we validate.

19           If you put the ambiguous chemicals into  
20 the negative class, still it's -- 93 percent is the  
21 lowest balanced accuracy. So the statistical support  
22 for this model against these well-studied reference  
23 chemicals is quite high.

1                   Then we did a comparison -- not  
2 necessarily -- I don't remember what the charge  
3 question says. These things look like -- we do a good  
4 job of predicting true and positive androgens. But  
5 what we really want to know is how it compares with  
6 the AR binding assay, the Tier 1 binding assay. There  
7 were 101 chemicals that had been run in this one  
8 assay, not a bunch of different assays, during the  
9 assay validation. Fifty-five were run actually --  
10 they were compiled by ICCVAM -- they were run in  
11 multiple labs. There is good evidence for the  
12 activity of these -- I'll say that and then I'll  
13 caveat that later on -- for these 55 chemicals. These  
14 are kind of a reference set for the AR binding.

15                   During the List 1, there was an initial  
16 set of chemicals that this group, the EDSP, had people  
17 run. Those are the List 1 chemicals. There were 47  
18 chemicals actually run in this assay by commercial  
19 labs. But they were only run once. One lab took this  
20 chemical and ran it once.

21                   This is the comparison there. Let's  
22 look at the ICCVAM first. There were 24 actives that  
23 ICCVAM had in their list. Twenty-two of those we  
24 called active. A binding assay will be active whether

1 you're an agonist or an antagonist, so we can't  
2 distinguish there. Twenty-two out of the twenty-four  
3 are positive, and one was inconclusive and one  
4 negative. I'll go in and drill down to what is going  
5 on with those two.

6 The ICCVAM inactive, there were 31 --  
7 we only called 19 of those negative, and so there were  
8 a bunch that were called positive. That's an  
9 important set to understand. There appears to be lots  
10 of false positives, but I'm going to argue that's not  
11 really true.

12 Then the EPA List 1 chemicals, there  
13 were nine actives. We called almost all of those  
14 inactive. We missed all of those, so that's  
15 worrisome. I'm not going to worry about the  
16 inconclusives. Then there were 31 inactives on the  
17 List 1. We got 24 of those and then -- my arithmetic  
18 doesn't quite work here. Anyway, there were four that  
19 we missed. I think that's four and this should be  
20 whatever the balance is, yes. Twenty-seven, right. I  
21 believe that's true.

22 So let's look at those discrepancies.  
23 These are the ICCVAM actives and the ones that we  
24 called inactive. There were these two: there is

1 atrazine and methoxychlor. And if you go back and  
2 look at the ICCVAM activity, they were both active at  
3 very high concentrations. Atrazine is at 53  
4 micromolar and methoxychlor at 185. We only test up  
5 to 100 and chemicals that are even close to 100  
6 micromolar, it's kind of iffy whether we get that. So  
7 these are just outside of the boundary for us to be  
8 able to really detect that with our standard 100  
9 micromolar protocol.

10                   The ICCVAM inactive, that we called  
11 active -- there were a bunch of them. There were  
12 seven antagonists, two agonists, and then a couple of  
13 inconclusives. And notice, I've just underlined a  
14 bunch of them. Nine out of those twelve were actually  
15 estrogenic. There is a good -- it makes sense that  
16 chemicals that are estrogens will at least be weakly  
17 active in the androgen receptors. There is this  
18 cross-talk which is not surprising.

19                   A little bird told me to go actually  
20 read this ICCVAM document where they list those  
21 chemicals, and it turned out a bunch of those  
22 estrogens were never tested. The expert panel said,  
23 oh, they're estrogen so they can't be androgen, so you  
24 can just use those as your negative reference

1 chemicals. A bunch of these reference negatives in  
2 the ICCVAM panel were never actually tested for their  
3 negativity. So I don't know what the answer to that  
4 is.

5 Discrepancy with the EDSP List 1. The  
6 List 1 active and we called inactive, there were a  
7 bunch of those. Almost all of those were active at  
8 very high concentrations. The Tier 1 AR binding assay  
9 protocol says go up to one millimolar, so 10 times  
10 higher than we do. Almost all of those were outside  
11 our testing range, with the exception of phosmet.

12 Then the inactive List 1 where we call  
13 active, we can have -- so, it was actually six -- it  
14 should have been another number on the other slide,  
15 six. Most of these have low confidence scores. Most  
16 of these are antagonist, but confidence score of one.  
17 We would say, you know what, those are not really  
18 positive anyway. We would filter those out. Most of  
19 our false positives we would throw away.

20 To summarize all of that, so the multi-  
21 lab chemicals that have been tested a lot; We got 22  
22 out of the 24 actives, and the majority of these that  
23 we called active that they called inactive, were these  
24 estrogens where they had never actually done any

1 testing to confirm they were inactive. And in the  
2 single lab results for List 1, most of the actives we  
3 missed were -- all but one of them were very high  
4 concentration, so we couldn't detect those. I don't  
5 know where the 1,711 came from. Nonetheless, most of  
6 the false positives have this very low confidence  
7 score.

8 So, that's the results. I just have a  
9 little bit of discussion. Do people want to ask  
10 questions here before I go on?

11 **DR. JAMES MCMANAMAN:** Dr. Zoeller I  
12 think does.

13 **DR. RICHARD JUDSON:** Sure.

14 **DR. THOMAS ZOELLER:** In kind of  
15 thinking about this issue of false negative and false  
16 positive, you have many assays to determine whether a  
17 positive is a true or a false positive. But when it  
18 comes to a false negative, it's really that you've got  
19 a reference chemical that was negative in ICCVAM or  
20 some other kind of setting, or that was positive that  
21 shows up negative in your assay. So then it's a false  
22 negative.

23 But when you go to apply this to  
24 chemicals that haven't been tested before, there is no

1 way -- it seems to me -- to discriminate between false  
2 negative and true negative. When I think about  
3 confidence, it's almost one tail.

4 **DR. RICHARD JUDSON:** You're addressing  
5 the issue of false negatives. We run all of our 11  
6 assays and we conclude through the model that either  
7 we don't see any activity in any assay, or we conclude  
8 whatever activity we see is some interference mode.  
9 That's really -- and how do we know that those are  
10 really negative? We don't. That's the basic answer.

11 But it finally goes back to, what are  
12 we doing this for? Our basic approach is we're trying  
13 to prioritize. So we have plenty of chemicals for  
14 somebody to look at that are clearly positive. A lot  
15 more than anyone has ever sort of done any deep dive  
16 on. And those that are in the middle -- and we have  
17 even more in the middle. Then we have these things  
18 that are really negative. So that's one answer.

19 The other answer is, okay, with the  
20 assay we're trying to yield an alternative for, they  
21 have one assay. If it's negative in that one assay,  
22 no one is ever going to go look at it again. At least  
23 we've gotten 11 up to bat or something like --

1 whatever your favorite metaphor is -- rather than just  
2 one.

3 **DR. KRISTI PULLEN FEDINICK:** Can you go  
4 above 100 micromolar for testing in ToxCast or is that  
5 a technical limitation?

6 **DR. RICHARD JUDSON:** It's a practical  
7 limitation. Again, we're ultimately -- between us and  
8 NCGC, we've tested about 9,000 unique substances. To  
9 be perfect, what you would do is, every single  
10 chemical, you would figure out what the limit of  
11 solubility is in your favorite media, and then you  
12 would test up to the limit of solubility. But that's  
13 really, really hard.

14 From a practical standpoint we said,  
15 you would have to eat a lot of stuff to get to 100  
16 micromolar. We sort of -- one can argue with this,  
17 but the kind of accidental, non-intentional exposures  
18 that we're worrying about -- we worry about for the  
19 most part, it's hard to imagine how you get above 100  
20 micromolar. So that's sort of a good upper limit.  
21 And then we actually say, okay, is it soluble at 100  
22 micromolar, will it solubilize everything? And if  
23 it's not, we actually go down by a factor of two or

1 ten or something like that and try again. It really  
2 is practical.

3 **DR. KRISTI PULLEN FEDINICK:** But for  
4 say, worker exposure, where it's not just consuming,  
5 it's actually being in the presence of that chemical  
6 at high concentrations?

7 **DR. RICHARD JUDSON:** Correct.

8 **DR. KRISTI PULLEN FEDINICK:** For the  
9 chemicals that you missed, in particular. Is it  
10 possible for that 100 micromolar limit to be expanded?  
11 Is there a cost limitation to that, or why -- could  
12 you in theory -- not in theory, but could you in  
13 practice, go above that 100 micromolar per chemical  
14 set?

15 **DR. RICHARD JUDSON:** We could go up to  
16 the limit of solubility, but you can't do that for  
17 thousands of chemicals at a reasonable cost, no.

18 **DR. REBECCA CLEWELL:** Can we go back to  
19 where you showed the confidence scoring on the plot?  
20 The sort of box and whisker?

21 **DR. RICHARD JUDSON:** Yes.

22 **DR. REBECCA CLEWELL:** No, the big  
23 boxes. The box plot, yes.

24 **DR. RICHARD JUDSON:** This one?

1 DR. REBECCA CLEWELL: Way back, yes.

2 DR. RICHARD JUDSON: Right.

3 DR. REBECCA CLEWELL: I was wondering  
4 if you could maybe explain or provide an example of a  
5 situation where you would get -- and clearly it  
6 happens a lot -- but where you have the sort of red  
7 box outline here. Where you have a very high AUC, but  
8 a very low confidence score.

9 I'm trying to put this model together  
10 in my head, and in order to do the kind of combined  
11 AUC score, I'm assuming that you normalized everything  
12 so that they have a similar activity. Because these  
13 different assays can have very different sorts of  
14 dynamic ranges.

15 DR. RICHARD JUDSON: Right, yes.

16 DR. REBECCA CLEWELL: Then so, I'm  
17 trying to picture a situation -- because the only  
18 thing in my mind that would make that work, to have a  
19 very high AUC and a very low confidence score, would  
20 be having a very high activity in one single assay.

21 DR. RICHARD JUDSON: No, actually it's  
22 not that way. Think back to this burst idea. If you  
23 have a chemical that causes three or four or five of  
24 the assays to light up purely due to cytotoxicity, or

1 cell stress, whatever that is. So you have all the  
2 assays on, and so it's going to finally say -- and you  
3 have assays for multiple technologies that are on.  
4 It's going to give you a high AUC.

5 But then the confidence score will say,  
6 you know what, you don't have the shift in the right  
7 direction, so you lose points there. Everything is in  
8 the cytotoxicity region. You lose points there. That  
9 is how you would get that situation.

10 Most of these -- I would have to look  
11 at individually, but my guess is most of these are  
12 lighting up at multiple assays, multiple technologies,  
13 in the cytotoxicity region.

14 **DR. REBECCA CLEWELL:** Do you think that  
15 the major driver then is probably the z-score for the  
16 confidence interval, generally?

17 **DR. RICHARD JUDSON:** Yes. Well, you  
18 get a lot of -- remember -- I won't go back to that  
19 chart way back, but you get a lot of points for  
20 getting the shift in the right direction. You already  
21 lose three points if the shift is not the right - or  
22 you could lose four points. You could actually get a  
23 negative value. And then if you have the -- you're  
24 all in the z-score, you lose points too.

1 DR. REBECCA CLEWELL: I have one more  
2 question. Is that all right?

3 DR. RICHARD JUDSON: Yes.

4 DR. REBECCA CLEWELL: Then when you go  
5 forward and you start doing a kind of positive -- true  
6 positive and false positive, true negative and false  
7 negative -- there was sort of a lot of information  
8 there, so it can be kind of hard to put it all  
9 together in my mind.

10 What I'm wondering is, for these ones  
11 where there is a clear kind of reason for -- first of  
12 all, when you did the false positive and false  
13 negative and you did the hit calls, does this include  
14 your confidence interval consideration?

15 DR. RICHARD JUDSON: It does not.

16 DR. REBECCA CLEWELL: It was just the  
17 AUC score?

18 DR. RICHARD JUDSON: This is just AUC.  
19 And then all of those next slides that have all of  
20 those words on them, words and numbers and complicated  
21 -- those are where we try to explain, why do we have a  
22 false positive? Is there a good explanation that has  
23 to do with the confidence interval?

1                   One thing we haven't done here is to  
2 say, I'm the expert, so if you have an AUC of 0.1 and  
3 the confidence interval is two or less, I'm going to  
4 call it a negative.

5                   I think that is something that -- it  
6 has to be a wider discussion. Certainly within -- the  
7 program office would have to come up with the final  
8 decision of how to do that with input from you folks,  
9 potentially.

10                  **DR. REBECCA CLEWELL:** It's hard to see  
11 doing much better than, like, 90 plus percent balanced  
12 accuracy. What would be interesting and, I think,  
13 informative, is if we could compare what happens if we  
14 use different confidence score cutoffs, and how that  
15 changes your predictions here.

16                  **DR. RICHARD JUDSON:** Yes.

17                  **DR. REBECCA CLEWELL:** Also, if they  
18 were never tested and they're not actually shown to be  
19 androgen negative, then I don't know, maybe they  
20 shouldn't be used as a control.

21                  **DR. RICHARD JUDSON:** I got these slides  
22 -- so, Dr. Kleinstreuer actually wrote the -- she was  
23 the primary author of the AR paper. I certainly  
24 helped. And she put these slides together. Only at

1 the last minute as we were getting ready she said, oh,  
2 you should go look at that. Probably if we were to  
3 publish this, we would go back and scrub all of those  
4 that actually are not supported by data.

5 **DR. KRISTI PULLEN FEDINICK:** I had  
6 another question. We couldn't necessarily apply the  
7 accuracy sensitivity or specificity to chemicals that  
8 aren't DMSO soluble? Is that right? So, we couldn't  
9 know if this is applicable across all 10,000 chemicals  
10 for EDSP? We would only know if they were applicable  
11 over the 1,800 or so chemicals within ToxCast?

12 **DR. RICHARD JUDSON:** Well, there are  
13 two questions there. One is, we have data for 1,800  
14 chemicals here. We actually have more data back at  
15 home, but for this -- we can't make any statement  
16 about a new chemical without testing it. Dr. Thomas  
17 reminded me that he told me before -- we actually are  
18 testing -- we have a 96 or 384 chemicals in water that  
19 we're actually testing right now in an alternative  
20 assay. We actually are moving in that direction.

21 **DR. KRISTI PULLEN FEDINICK:** But just  
22 to clarify. So, we wouldn't know whether or not these  
23 tests would be accurate for chemicals in water or not?  
24 We couldn't apply this -- we couldn't look at the

1 balanced accuracy of 95 percent and say that would  
2 also then apply for chemicals that are water soluble?

3 **DR. RICHARD JUDSON:** I guess I wouldn't  
4 know any scientific reason why -- ultimately, the well  
5 that you're testing in is watery, right? So, the only  
6 reason you -- you just need to get stuff out of powder  
7 into solution with the DMSO before you put it back  
8 into water. I would think there is no a priori reason  
9 why it won't work perfectly well for chemicals soluble  
10 in water.

11 **DR. KRISTI PULLEN FEDINICK:** Or  
12 volatility, not just water.

13 **DR. RICHARD JUDSON:** Yes, volatility is  
14 a whole different issue, right.

15 **DR. KRISTI PULLEN FEDINICK:** So, we  
16 don't know that those -- thank you.

17 **DR. EDWARD PERKINS:** Back on slide 62,  
18 uncertainty quantifications. Using bootstrap  
19 replicates for the concentration response curves, you  
20 were looking at distribution of fit parameters and  
21 model selections. Did you find any influence of model  
22 selections for curves and so forth on that? On your  
23 AUCs or how that might affect -- or am I confusing  
24 things?

1                   **DR. RICHARD JUDSON:** A gory detail that  
2                   Dr. Perkins probably knows about. For every chemical  
3                   assay, we fit three curves. We fit a flat curve.  
4                   Does it look flat? Does it look like a hill curve?  
5                   Or does it look like a gain/loss, up and down again?

6                   As you subtract points away, you may go  
7                   from saying it was initially a hill, but it either  
8                   goes flat or it goes to a gain/loss. In this modeling  
9                   approach, we actually let it go to whatever it was  
10                  going to go to. Specifically, I'd have to dig deep to  
11                  answer your specific question.

12                  **DR. EDWARD PERKINS:** Yes, because in the  
13                  pipeline you're scoring -- only the hill or the  
14                  gain/loss are scored as active, right?

15                  **DR. RICHARD JUDSON:** Correct, right.

16                  **DR. EDWARD PERKINS:** So, the idea is  
17                  that those generally fit most all curves that you're  
18                  seeing in all the assays?

19                  **DR. RICHARD JUDSON:** People have asked,  
20                  well, why didn't you fit exponentials and other kind  
21                  of curves, and -- just because we don't. We can have  
22                  a long discussion about that, if that's what you're  
23                  asking.

24                  **DR. EDWARD PERKINS:** Thanks.

1                   **DR. J. DAVID FURLOW:** Just one quick  
2 question. I'm also thinking of the large number of  
3 antagonists that are showing up in a lot of these  
4 assays and how to filter them and assign confidence  
5 scores to them, right?

6                   So looking at seeing cycloheximide show  
7 up, right? It's almost a test case that in that case,  
8 that should score low because it should go the wrong  
9 direction in an agonist assay. Isn't that right? So  
10 if you have some activity --

11                   **DR. RICHARD JUDSON:** It shouldn't go in  
12 the right direction.

13                   **DR. J. DAVID FURLOW:** If you're testing  
14 it without any androgen alone, right -- or around, it  
15 should go down?

16                   **DR. RICHARD JUDSON:** Right.

17                   **DR. J. DAVID FURLOW:** Because there is  
18 some activity, you're going to inhibit the reporter,  
19 right?

20                   **DR. RICHARD JUDSON:** Right.

21                   **DR. J. DAVID FURLOW:** So, if those are  
22 parallel, right? So when you add androgen and you  
23 don't have androgen, they both go down, that should  
24 decrease its confidence. Is that captured in this?

1                   **DR. RICHARD JUDSON:** Yes. If I looked  
2 at the confidence score for cycloheximide -- was it on  
3 one of the slides? It should have a low confidence  
4 score.

5                   **DR. J. DAVID FURLOW:** Right.

6                   **DR. RICHARD JUDSON:** Because all the  
7 activity -- cycloheximide is so cytotoxic, almost all  
8 the activity should be in the cytotoxicity region too.

9                   **DR. J. DAVID FURLOW:** It should be. In  
10 some of our assays we've seen cycloheximide going down  
11 well before there is cytotoxicity, for reasons I don't  
12 understand. It depends on the nature of the reporter.  
13 But it was in the Class B, right, that it was air  
14 pathway active, but ICCVAM inactive.

15                   **DR. RICHARD JUDSON:** Again, we've  
16 studied the cycloheximide. We've had big arguments  
17 about that and it's complicated.

18                   **DR. J. DAVID FURLOW:** I mean, it should  
19 show up in all of the assays across B53. Whatever  
20 your tox 21 readouts are, right? Cycloheximide should  
21 be showing up in a lot of those.

22                   **DR. RICHARD JUDSON:** It does.

1                   **DR. J. DAVID FURLOW:** It may be well  
2 before -- for whatever reason, before there is  
3 toxicity.

4                   **DR. RICHARD JUDSON:** Right.

5                   **DR. KRISTI PULLEN FEDINICK:** Just  
6 another quick follow-up question. For chemicals in  
7 that confidence score equal to one, have you gone back  
8 and looked at whether or not those would be  
9 potentially false negatives? Getting at Professor  
10 Zoeller's comment earlier. Just having looked through  
11 a little bit -- in preparation for this meeting, there  
12 is one chemical, Mancozeb for example, that would have  
13 a confidence score of one and would have -- it was an  
14 antagonist score of 0.0517. But it was a chemical  
15 that you had flagged in 2010 as being active across a  
16 number of assays in the initial ToxCast, just kind of  
17 released to the public.

18                   This is one that would potentially have  
19 a DMSO solubility issue. It could also have non-  
20 classical binding. It's a known antagonist, but it's  
21 showing up with a confidence score equal to one. Is  
22 there a way to really know whether or not that one  
23 cutoff -- or understanding what's in that one pool, so

1 to speak, so that we can understand what's really  
2 happening there?

3 **DR. RICHARD JUDSON:** Mancozeb, which is  
4 a zinc -- zinc manganese. It's a real nasty thing  
5 which is very cytotoxic. It turns on all sorts of  
6 assays, and so my conclusion is, it's just causing the  
7 cells to be really unhappy and all sorts of stuff is  
8 turning on.

9 So I would say it has a low confidence  
10 score because all of the activity is in -- it doesn't  
11 push it in the right direction and the activity is  
12 mostly in the cytotoxicity region.

13 Now, you made a comment that is a known  
14 antagonist. I would be interested in seeing the  
15 literature that proves that.

16 **DR. KRISTI PULLEN FEDINICK:** In CHO-K1  
17 cells, for example, there is a clear antagonist  
18 binding that if you're looking at certain  
19 concentrations -- not even certain concentrations, but  
20 there are curves that will demonstrate absolutely that  
21 it binds to the AR receptor. But we can talk. I'm  
22 happy to share references too.

23 **DR. JAMES MCMANAMAN:** I think we should  
24 move on.

1                   **DR. RICHARD JUDSON:** I'm almost done.  
2                   Discussion, just summarizing. I'll just quickly  
3                   summarize what we've talked about. We ran these 11  
4                   assays in 1,855 chemicals to sort out whether  
5                   antagonists are true or false. We have this AUC value  
6                   that we've combined with these confidence scores.  
7                   Just a summary, so 1,100 chemicals were inactive in  
8                   all the assays. We could argue about whether we have  
9                   11 false negatives for all of those chemicals, but I  
10                  would argue probably not. Those are probably really  
11                  not androgenic.

12                  Five hundred sixty-two were active in  
13                  at least one assay, but were not classified as either  
14                  agonist or antagonist. We have 33 agonists and a lot  
15                  of -- still almost 200 antagonists, but 140 of those  
16                  have a confidence score of three or higher. My rough  
17                  rule of thumb is it's got to be three before you start  
18                  paying attention to it.

19                  People have looked at this and said  
20                  that's way too many antagonists. But if you look at  
21                  the chemical structures for those 146, most of them  
22                  fall into a relatively small number of chemical  
23                  classes. They're not random chemicals.

1           We have a bunch of steroids, a bunch of  
2 bisphenols, relatives of Bisphenol A, a lot of  
3 chlorophenols -- they look like, for those of you who  
4 know Bisphenol A. It's two benzene rings with a  
5 methyl group in the middle and you have a hydroxyl  
6 group, so those are the bisphenols. If you replace  
7 the hydroxyl group with chlorines, that's another  
8 common class that -- it makes sense chemically to me  
9 that those would be binders. And then Bianiline, so  
10 you actually have -- instead of the chlorine, you have  
11 a nitrogen group out there. So, chemically it makes  
12 sense that these would be -- they would be interacting  
13 with the receptor.

14           Comparing with the literature  
15 reference, there were these 46 chemicals against those  
16 that are tested in lots of labs, lots of literature,  
17 reference 93 percent or better, balanced accuracy.  
18 The ones that we missed were either classified as weak  
19 or very weak. Probably our active at near or above  
20 the concentration where we test. We simply couldn't  
21 see the activity.

22           Then comparing with the Tier 1 binding  
23 assay, we predicted the majority of the AR binding --  
24 let's see, what did I see? We predicted a majority,

1 but not a lot of them. A lot of the missed -- in  
2 fact, that's actually not true. This was a mistype.  
3 We actually missed most of the actives, but most of  
4 the actives in this Tier 1 test were active at  
5 concentrations well above where we could test. There  
6 is at least an explanation for why we didn't see  
7 those. We had a good agreement with the negative  
8 results, except we had this cross-reactivity.

9 We have to talk about limitations. We  
10 don't have any metabolism in here, but there is this  
11 big ongoing challenge where EPA and NTP and NCATS are  
12 funding a bunch of groups to try to retrofit assays  
13 with metabolism. We're actually doing some of that  
14 work in-house. None of it is published yet, but it's  
15 -- in principle, we could take all of these 11 assays  
16 and we could retrofit them and actually run them with  
17 and without metabolism.

18 For estrogen, we actually took the --  
19 sorry, I'm tired. We took the model data and we had a  
20 bunch of groups around the world build QSAR models,  
21 who could then go and predict what was going on. So  
22 what we've done relative to metabolism is we took  
23 those QSAR models, which are pretty accurate, and we  
24 took models that predict what the metabolites are, and

1 we're able to predict that the metabolites look  
2 estrogenic, the parents don't. So we took a set of  
3 reference chemicals where we know the parent gets  
4 metabolized to something which is more estrogenic.

5 Using this QSAR approach, we were able  
6 to replicate that trend. So it looks like maybe you  
7 have to do the experiments to predict what's going on  
8 with metabolism. Or at least for bioactivation to  
9 being something estrogenic, you can do a reasonable  
10 job using QSAR models.

11 We've talked a lot about DMSO  
12 solubility, so we've only done DMSO soluble to date,  
13 but we're working on water solubility.

14 Finally, in summary, we believe the  
15 model could today, with no question, be used to  
16 prioritize chemicals for further analysis using some  
17 combination of the AUC and the confidence score. We  
18 have to decide, okay, how do you put those two  
19 together? We don't have a final answer to that. This  
20 is one tool in this pivot using the high-throughput  
21 computational methods.

22 We're continuing to improve. For  
23 instance, we are running -- adding to the 11 assays, a  
24 cell proliferation assay, an androgen receptor

1 proliferation assay, which we had in the estrogen  
2 model. Hopefully, in the next few months, we'll have  
3 all the data there and we'll improve the model there.

4 We actually have more than the 1,800  
5 chemicals in-house, so we can provide all of this data  
6 for a bigger set of chemicals. We believe -- this is  
7 the charge question -- that there is good enough  
8 evidence here that the current panel could be an  
9 alternative to the current test Tier 1 AR binding  
10 assay. A lot of people -- this is a subset of all the  
11 people who have worked on this model and the data and  
12 so on.

13 Any questions in that section?

14 **DR. KRISTI PULLEN FEDINICK:** If no one  
15 else has any, then I'll certainly -- thank you for  
16 answering all of these questions.

17 One of the questions I have, too, is  
18 about just the chemical universe. I'm not a  
19 computational biologist, it would be interesting to  
20 get you guys' thoughts on this. I did some simple  
21 Jarvis-Patrick clustering to kind of look at the EDSP  
22 universe as a whole, all 10,000 chemicals. For about  
23 6,500 of them that I could do the clustering, there  
24 were about -- let's say 3,000 clusters that came up.

1                   So the reference chemicals that you  
2 guys used only covered 36 of those -- were only in 36  
3 clusters.

4                   **DR. RICHARD JUDSON:** Sorry. How many  
5 clusters?

6                   **DR. KRISTI PULLEN FEDINICK:** There were  
7 3,000 total for all 10 -- about, again, 7,000 that I  
8 could get the structures for. Some of them are anise  
9 oil and you can't really get a structure for that.  
10 It's hard. If you look at the standards that you  
11 used, they were only in 36 of those 3,000 clusters.

12                   So, if we really want to be able to  
13 look at whether or not these tests are reliable across  
14 -- again, we're not just looking at a small subset of  
15 chemicals. We're really looking across 10,000  
16 potentially. Is there a way to really try to  
17 understand if that chemical universe has been -- or  
18 the chemical standards that you've used in those 36  
19 clusters that are being generated, are the only ones  
20 that you would expect to be androgenic, or anti-  
21 androgenic?

22                   I guess the question is, it doesn't  
23 seem to me as though 36 clusters is enough to be able  
24 to tell whether or not the chemical universe has been

1 expanded enough to apply that to 10,000; but are there  
2 scientific reasons or other reasons why that would not  
3 be the case?

4 **DR. RICHARD JUDSON:** There are sort of  
5 two ways to address that. One is, are our reference  
6 chemicals representative enough of the whole universe?  
7 That's really the bottom line. And, no. The reason  
8 is that just nobody has randomly tested lots of  
9 chemicals. So coming up with a broad set of reference  
10 chemicals is really, really hard. So the answer to  
11 that is no.

12 One question that -- here was a  
13 disappointment to me as a scientist. We ran the AR  
14 model on 1,800 chemicals, including many which didn't  
15 look at all like the reference chemicals. And the  
16 same thing for AR. We were going to discover  
17 something really new and cool, some estrogens and  
18 androgens nobody knew about, and we were going to be  
19 in *Science and New York Times* and all that. And there  
20 was nothing.

21 It turns out that the chemical classes,  
22 the typical chemical classes, if you go much outside  
23 of those, there just isn't much activity. That's one  
24 piece. And the 1,800 -- I don't know if you have done

1 this, but overlay the 1,800 on the 10,000, that's a  
2 much more representative set. I would bet -- but  
3 until we test them all, I don't know -- that that's  
4 not -- there aren't all these lurking pockets of  
5 androgens or estrogens out there.

6 The other thing we did -- which  
7 probably doesn't prove anything -- but we had these  
8 international groups build lots and lots of QSAR  
9 models based on the 1,800 chemicals. We actually  
10 pulled some literature data on another six or seven  
11 thousand chemicals. Again, we didn't see other  
12 structural classes that popped up that were  
13 consistently estrogenic or androgenic. So that's  
14 anecdotal information that we're probably doing okay.  
15 Our assay set is probably good enough to test the  
16 10,000; but until you test the 10,000, you won't know.

17 **DR. KRISTAN MARKEY:** Not to preview too  
18 much, but exactly this question is coming up -- is it  
19 fair to advertise? I'm just going to advertise at  
20 this point that it is a topic for a future SAP  
21 meeting, to look across many of these clusters that we  
22 are also recognizing within there, and making sure  
23 that we have adequate space and coverage across those  
24 domains to confidently predict whether or not ToxCast

1       itself covers it, or we need other types of assays to  
2       cover those clusters that we see forming within that  
3       universe. That number 10,000 may or may not stay the  
4       same.

5                   **DR. KRISTI PULLEN FEDINICK:** Can I ask  
6       a quick follow-up? Would the current Tier 1 tests --  
7       again, if what we're thinking about is replacement  
8       here, would the current Tier 1 tests have the same  
9       limitations in terms of the ability to say, look  
10      across those different chemical classes potentially?  
11      Some of the physical chemical differences could result  
12      in volatility or other just characteristics of a  
13      chemical that make it reside outside of the ToxCast  
14      testing platform.

15                   How do we -- and maybe you can't say  
16      this, right? And this is something that we have to  
17      think about as an SAP. But how do you think the  
18      current tests would be able to look across this  
19      chemical space in a way that might be different than  
20      ToxCast?

21                   **DR. RICHARD JUDSON:** Again, my  
22      hypothesis is the more assays, the better. There are  
23      going to be a bunch of chemicals which goof up that  
24      binding assay, right? Without some kind of backup,

1 you will never know whether that's false positives --  
2 whether those positives are false positives. I can't  
3 think of a way to -- you could also have false  
4 negatives for reasons I couldn't come up with right  
5 off, but you could potentially have false negatives  
6 there with the current binding assay.

7           There are two reasons why we're doing  
8 this. One is we're taking one assay, an in vitro  
9 assay, and replacing with 11. Maybe we can come up  
10 with a subset. This is a question that may come up  
11 later. You don't need all 11, but - so we're  
12 replacing one with many. But the one is sort of, from  
13 my understanding, kind of a hand crafted. It's not  
14 rolling off a log easy.

15           Whereas, with these high-throughput  
16 ones, you can -- at least if you're willing to run a  
17 lot of chemicals at once, you can take 1,800 or 2,000  
18 or 3,000 chemicals and in a few weeks, you just get  
19 the answer for all of them. It's a practical -- with  
20 a testing program like EDSP, it's a practical problem  
21 how you actually do that though.

22           **DR. REBECCA CLEWELL:** I'm wondering if  
23 I can ask a question about the charge question. Can I  
24 do that now?

1 DR. JAMES MCMANAMAN: No. Soon. You  
2 can address it when it comes time for the charge  
3 question.

4 DR. REBECCA CLEWELL: I think it could  
5 go to some of these concerns though.

6 DR. JAMES MCMANAMAN: That's  
7 appropriate, if it's related to the charge question,  
8 okay?

9 DR. REBECCA CLEWELL: I won't ask. I  
10 want to say something else.

11 DR. JAMES MCMANAMAN: Okay.

12 DR. REBECCA CLEWELL: There has been a  
13 lot discussion about the technical limitations of  
14 these assay. I think -- sorry, I lost my speaker.  
15 One of the things -- I don't know if a comment is  
16 appropriate. Is a comment appropriate more than a  
17 question?

18 DR. JAMES MCMANAMAN: Just questions.

19 DR. REBECCA CLEWELL: Oh, man, you guys  
20 are such sticklers.

21 DR. JAMES MCMANAMAN: You can make the  
22 comment during the charge question.

23 DR. REBECCA CLEWELL: I'll wait for the  
24 charge question.

1                   **DR. JAMES MCMANAMAN:** Thank you. If  
2 there are no other clarification questions, then we  
3 can move onto the next presentation.

4                   It looks like a short presentation, so  
5 I think we can do that before lunch. Let your  
6 stomachs growl for a little while and we can get this  
7 done.

8                   **DR. RONNIE JOE BEVER:** The androgen  
9 pathway model discussion.

10                  **DR. JAMES MCMANAMAN:** This is Dr.  
11 Bever.

12                  **DR. RONNIE JOE BEVER:** Yes, excuse me.  
13 Joe Bever. My discussion is going to be more in the  
14 frame of the regulatory. I thank Dr. Judson for  
15 presenting the science. He presented a lot of details  
16 for the androgen receptor model. Now I'm just going  
17 to describe how we're going to use this model, and why  
18 we feel like it's ready for that use.

19                  This is, as Dr. Judson has pointed out,  
20 we intend to use it for prioritization. It's already  
21 been shown to be useful in providing some endocrine  
22 bioactivity data, which is one of the things that  
23 we're looking for in prioritization; the other being  
24 exposure. We also intend to use it as an alternative

1 for the low-throughput androgen receptor binding  
2 assay.

3 The context of use remains the same.  
4 We're looking at the androgen receptor binding  
5 pathway. With the pathway model, we're not simply  
6 looking at binding, however. We feel like the  
7 androgen receptor pathway model offers some  
8 advantages.

9 First of all, it's much more robust and  
10 informative. As Dr. Judson has pointed out several  
11 times, we have 11 assays rather than a single assay.  
12 It's useful for rapid prioritization. It reduces  
13 animal use. All of these assays are basically  
14 cell-free or exist with an established cell line. If  
15 we are using animal products -- and we do in one.

16 One of the assays is basically an  
17 upscaled, low-throughput assay where rat prostate  
18 cytosol is used in the low-throughput assay. So it  
19 would be used in the high-throughput, also, but at a  
20 greatly reduced volume.

21 Once again, animal use is reduced.  
22 It's high-throughput, so it's going to have the  
23 potential of saving money and definitely time, and  
24 also other resources. This pathway model is nice in

1 that it can show how the chemical is interacting,  
2 basically agonist, and antagonist. It gives you some  
3 idea about interference, and it gives you an idea  
4 about potency.

5 I'm presenting this charge question not  
6 to discuss it -- deliberation is not now -- whether  
7 it's just to remind you of the question and present  
8 the Agency's viewpoint on it. We have already  
9 delivered this androgen receptor pathway model to the  
10 SAP for deliberation in 2014. Now we're saying that  
11 if we met these recommendations of that SAP, then it  
12 should be suitable to act as an alternative for the  
13 low-throughput androgen receptor binding assay.

14 I promised you a more exhaustive list  
15 of what the December 2014 SAP had to say about our  
16 first generation androgen receptor model. Here it is.  
17 These are the major points from the minutes.

18 They said to evaluate cytotoxicity, so  
19 we ran concurrent cytotoxicity assays for the  
20 antagonist assays. As Dr. Judson has explained, the  
21 cytotoxicity assays are important to reduce any  
22 confounding due to cytotoxicity in the antagonism.

23 Now, these cytotoxicities, we can  
24 calculate the z-score. He explained how that is done.

1 And that is a measure of cell stress, which is useful  
2 for, as I say, removing any confounding factor here.

3 They asked us to expand the reference  
4 chemical AUC value range. Well, through our  
5 systematic literature review, we were able to almost  
6 triple the number of reference chemicals. We have the  
7 negatives, agonist and antagonist. We also have  
8 various potency. Thus, we fulfilled this  
9 recommendation of increasing the AUC range.

10 They asked us to optimize the  
11 assessment of activities. Once again, I'd like to  
12 point out that it has 95 percent accuracy, balanced  
13 accuracy. I'll get more into that later on --  
14 especially with this reproducibility and transparency  
15 -- when I talk about fitness for purpose. But I will  
16 say right here, that yes, we've addressed these issues  
17 also.

18 They asked us to build on the assay  
19 battery, and we have. Previously we had nine assays.  
20 We added another couple, so now we have 11 assays in  
21 the battery.

22 The final point, as I previously  
23 mentioned, which is develop androgen receptor related  
24 assays that do not follow the classical genomic

1 nuclear receptor pathway. We haven't done, and the  
2 current low-throughput assay doesn't do it either.  
3 That does not preclude us from using it as an  
4 alternative to that low-throughput assay. It is a  
5 good idea and we may actually address that in the  
6 future.

7           Besides meeting the recommendations of  
8 the SAP panel, we feel like we also meet the standard  
9 validation principles. The validation principles of  
10 relevance, fit for purpose, reliability, and certainty  
11 and transparency. It fits the same context of use.  
12 It's looking at the androgen receptor binding and that  
13 biological pathway; but here, as far as relevance,  
14 we're looking at the same mechanistic and biological  
15 relevance of the original validated androgen receptor  
16 assay. Once again, it's basically looking at the same  
17 thing, which is androgen receptor binding. It's less  
18 or more.

19           Reliability. We have 11 orthogonal  
20 assays. These orthogonal assays include assays on not  
21 only receptor binding, but cofactor recruitment, RNA  
22 transcription, and protein production. So it's more  
23 robust. We're looking at different parts of the  
24 pathway. It's more robust and we have different

1 technical aspects to each of the assays. For  
2 instance, once something that might confound one  
3 assay, may not confound the other assay because of,  
4 say, the detection mechanism.

5 For reliability, we not only have all  
6 of this diversity, but we also have -- in multiple  
7 assays -- but we also incorporated, as was mentioned,  
8 concurrent cytotoxicity assays to remove a confounding  
9 factor. We also incorporated -- and this was really  
10 important in the Tox21 antagonist luciferase assay.  
11 We tested at two concentrations and we noted any shift  
12 in the curve, which would be telling of true  
13 antagonism versus a false antagonistic reaction.

14 So, for reliability, all of these  
15 different factors make us confident of the output of  
16 the model. The model is basically using almost like a  
17 way of evidence approach, as Dr. Judson mentioned,  
18 through these multiple assays and diverse technology.

19 We also measured uncertainty, which Dr.  
20 Judson showed. And this was characterized with a  
21 bootstrapping procedure and the amount of uncertainty  
22 was not really dependent on the model, it was  
23 dependent on the chemical. You could see the

1 different chemicals and how they had different  
2 confidence intervals.

3           Lastly, transparency. We have a very  
4 detailed explanation of the androgen receptor model in  
5 the white paper and supplemental files and linked  
6 sources. We hope that this is adequate for everyone  
7 to understand the methodology we used, as well as  
8 being able to reproduce our statistical analysis and  
9 modeling.

10           We feel like this pretty much met the  
11 validation principles. Including fit for purpose. We  
12 had a greater than 95 percent balanced accuracy. This  
13 is assuming the ambiguous findings are positive, which  
14 is health protective.

15           This is using 46 reference chemicals  
16 found through systematic literature review. Dr.  
17 Judson has explained, when comparing it to the  
18 low-throughput assay, that generally there is a great  
19 correlation, a great agreement. And when there is  
20 not, we were able to give a good explanation for most  
21 of those cases why there was disagreement.

22           In conclusion, the Agency feels like  
23 that we've implemented all the pertinent SAP  
24 suggestions from December 2014 in regards to the

1 androgen receptor pathway model. We also feel like  
2 the basic validation principles have been met. Thank  
3 you.

4 **DR. JAMES MCMANAMAN:** Any last  
5 questions for Dr. Bever? Dr. Clewell?

6 **DR. REBECCA CLEWELL:** Now I have my  
7 charge question question. I think maybe just to make  
8 sure we're all on the same page. The charge question  
9 this week is specifically about the replacement of the  
10 low-throughput androgen receptor binding assay, which  
11 currently within the EDSP testing schema goes together  
12 -- is used together with some in vivo studies to  
13 determine androgenicity. Right now we're only  
14 discussing the replacement of the low-throughput  
15 androgen receptor binding assay, right?

16 **DR. RONNIE JOE BEVER:** Yes, but as I  
17 said about presenting the charge questions -- which  
18 I'll do again with the steroidogenesis. My purpose  
19 there was basically to show the Agency's viewpoint on  
20 it. The deliberation on the charge questions will  
21 occur later. But, yes, we're simply looking to  
22 replace the low-throughput androgen receptor binding  
23 assay. Or not replace actually, an alternative for.

1                   **DR. REBECCA CLEWELL:** And it would be  
2 then for future chemicals, you could either use the  
3 data that's already been collected through the ToxCast  
4 effort, or you would collect data in the 11 assays and  
5 develop a new AUC score, and that would be your  
6 decision making number? Is that --

7                   **DR. RONNIE JOE BEVER:** As you recall  
8 from my background, there is weight of evidence  
9 analysis for the Tier 1 battery. This would be an  
10 alternative for a Tier 1 test. Yes, the AUC is  
11 important, but that's certainly not all we consider  
12 before we say something is bioactive and requires the  
13 Tier 2 testing.

14                   **DR. REBECCA CLEWELL:** That makes sense.  
15 I was actually just wondering about the collection of  
16 the data. Like the recommendation would be that we  
17 would -- instead of doing the low-throughput AR  
18 binding assay -- by we, I mean someone, not me --  
19 would collect data in 11 ToxCast assays, develop an  
20 AUC score and that would be that sort of input for  
21 androgen receptor binding? Yes? Okay. Thank you.

22                   **DR. JAMES MCMANAMAN:** You're welcome.  
23 Other questions? If not, then I think we'll break for  
24 lunch. It's 12:20; we'll be back at 1:20.

1 [LUNCH BREAK]

2 DR. JAMES MCMANAMAN: It's time to get  
3 started again. Remember, any ex parte conversation  
4 should be read into the record, unless it has to do  
5 with dinner or something like that.

6 Dr. Paul Friedman is up next. So, if  
7 you're ready. It looks like we have a few stragglers,  
8 but I think we've got a quorum of permanent panel  
9 members.

10 DR. KATIE PAUL FRIEDMAN: Thank you for  
11 the opportunity to present today. I'm Katie Paul  
12 Friedman. To my right is Dr. Woody Setzer. We're  
13 both from the National Center for Computational  
14 Toxicology. I'd like to talk to you about the work  
15 that we've been doing to develop a high-throughput  
16 H295R assay, and then to statistically integrate the  
17 multidimensional readout that we get from that assay  
18 for application to prioritization.

19 Just a brief overview of my talk. I'll  
20 talk a little bit about objectives and the overall  
21 approach, the assay background and method. So, this  
22 will be a high level overview of how the  
23 high-throughput H295R adaptation works. This was  
24 published in 2016. Then the methods and results part

1 of this talk is split into two sections. First, we go  
2 through an evaluation of the high-throughput H295R  
3 assay comparing the OECD reference chemicals and their  
4 performance regarding the synthesis of estradiol and  
5 testosterone in the H295R system. Then the second  
6 part will be development of a quantitative  
7 prioritization metric for those high-throughput H295R  
8 assay data. And then a little bit of discussion and  
9 conclusions.

10 Objective one is really set up by this  
11 initial challenge that within the EDSP Tier 1 battery  
12 there already exists a low-throughput H295R  
13 steroidogenesis assay. There are both EPA and OECD  
14 test guidelines for this steroidogenesis assay. The  
15 assay is really employed to look at potential  
16 perturbation of estradiol and testosterone.

17 Our initial objective was to adapt that  
18 assay to a high-throughput format to increase resource  
19 efficiency and speed, to address the questions that  
20 have been discussed at length this morning about the  
21 too many chemicals, too little time problem.

22 Objective two, within this  
23 high-throughput version of the assay, we actually were  
24 able to measure 11 steroid hormones synthesized in the

1 cell. We wanted to utilize all of that information.  
2 So our second objective was to develop a summary  
3 measure that integrates the multidimensional data to  
4 quantify pathway perturbation, and then hopefully  
5 indicate the relative priority for further screening  
6 or evaluation of chemicals based on their potential  
7 effects on steroidogenesis in this model.

8 This is an outline of our overall  
9 approach, but also to highlight for you the  
10 publications that are really included in the white  
11 paper that you have and in our talk today. The  
12 initial high-throughput H295R assay and the stage  
13 screening approach that we employed for resource  
14 efficiency, was published in 2016 in *Toxicological*  
15 *Sciences* by Agnes Karmaus and colleagues. The paper  
16 is referenced here. I'll go over this a little bit in  
17 the assay background and methods.

18 The rest of the talk today is really  
19 described in a paper that was actually just accepted  
20 yesterday at *Toxicological Sciences*. That will be  
21 Haggard, et al. 2017. That paper goes through first  
22 the evaluation of the high-throughput H295R assay via  
23 a comparison to the OECD inter-laboratory validation  
24 results that were published in 2011. Those data

1 basically are analyzed per a very similar protocol to  
2 the OECD test guidelines, so that we can perform that  
3 more apples-to-apples comparison with reference  
4 chemicals, and then evaluate the concordance of  
5 estradiol and testosterone responses.

6 The second part of that paper and the  
7 second part of this talk, is the development of a  
8 prioritization metric. What we have done is  
9 statistically compressed the data for an 11 steroid  
10 hormone panel, measured in the H295R cells and then  
11 evaluated that prioritization metric.

12 Any questions before I go onto assay  
13 background? Okay.

14 This section, as I mentioned before, is  
15 really about just describing that Karmaus, et al. 2016  
16 methodology that we implemented to screen now 2,012  
17 chemicals through the high-throughput H295R assay.

18 First, for those of you who aren't  
19 familiar -- and I imagine everyone on the panel is  
20 familiar to some extent with the importance of  
21 steroidogenesis -- but this is essentially the process  
22 by which cholesterol is converted to steroid hormones.  
23 This is really important physiologically for sexual

1 differentiation, development, reproduction, but also  
2 basal metabolism.

3           There are four major classes of steroid  
4 hormones synthesized largely in separate tissues in  
5 vivo: progestogens, corticosteroids, androgens, and  
6 estrogens. The disruption of any of these can result  
7 in the development of a wide range of disorders  
8 including congenital adrenal hyperplasia, or effects  
9 on fertility, or even hypertension and metabolic  
10 functions. This is very completely reviewed  
11 elsewhere.

12           Steroidogenesis is the H295R model  
13 includes all four classes of steroid hormones, so this  
14 is a really unique model that you can see is used to  
15 our toxicological advantage. Here is just a  
16 representation of the model in colored quadrants here.  
17 Green are progestogens, blue are androgens, yellow are  
18 corticosteroids, and red are estrogens. All four of  
19 these classes are generated within the cell and can be  
20 measured in the medium in this assay.

21           The steroid hormones written in black  
22 text are those included in the pathway that we've  
23 included in the high-throughput version of the assay.  
24 I've highlighted in white the two hormones that are

1 typically measured in the low-throughput guideline  
2 version of the assay, which are just testosterone and  
3 estradiol. We measure those as well in the  
4 high-throughput version. The key difference here is  
5 that in the high-throughput version, more steroid  
6 hormones are measured.

7 Our first implementation of this assay  
8 maximized screening resource efficiency. To do this,  
9 we actually -- very similar to the NovaScreen assay  
10 technology that was described previously -- we first  
11 performed a single concentration screen at a high  
12 concentration. To do this, we determined a maximum  
13 testable concentration for each chemical. We defined  
14 that maximum testable concentration, or MTC, as the  
15 concentration that maintained a minimum of 70 percent  
16 cell viability. Then we screened that concentration  
17 for effects on any of the steroid hormones. From that  
18 set then we advanced -- now 656 out of over 2,000  
19 chemicals have been screened in multi-concentration  
20 response.

21 I'd like to walk you through the graphs  
22 here just a little bit on this slide. The first graph  
23 on the left is a demonstration of the concentration  
24 ranges that we observed for the maximum tested

1 concentration. On the X-axis is just number of unique  
2 chemicals. And you can see here for the vast majority  
3 of chemicals, the maximum tested concentration was  
4 somewhere between 10 to 100 micromolar. But there are  
5 some chemicals for which we had to revise down the  
6 maximum tested concentration.

7 The graph on the right is the number of  
8 unique chemicals versus the sum of positive hits in  
9 single concentration screening. One of the criteria  
10 that we used to advance chemicals beyond single  
11 concentration screening was to look at the sum of  
12 steroid hormones that were affected by that particular  
13 chemical. Most of the chemicals advance affected  
14 three or four, or more, steroid hormones in the set.  
15 There are some that we advanced that were negatives or  
16 references, but a good number of them perturbed three  
17 to four at least of the hormones in the set.

18 This allowed us, as you can see, to  
19 reduce the resources used to screen because  
20 approximately half of the library we screened didn't  
21 affect any steroid hormones in the set at that max  
22 tested concentration.

23 This is just an overview of the method  
24 itself, very briefly. The cells are plated overnight

1 at 50 percent confluency and just allowed to incubate.  
2 Then a key difference in our assay methodology from  
3 the guideline version, if you're familiar, is that we  
4 perform a pre-stimulation with forskolin, abbreviated  
5 here as FSK. For 48 hours post-plating, there is a 10  
6 micromolar forskolin stimulation. This basically  
7 upregulates the entire steroidogenesis pathway in the  
8 cell, to try to get an increased signal since we've  
9 miniaturized the assay.

10 Then there is a washout and a chemical  
11 exposure for 48 hours, and typically our max tested  
12 concentration approaching 100 micromolar. And then at  
13 the end of that 48-hour period, the cells are  
14 evaluated for cell viability using an MTT assay. The  
15 medium is sent to a contract lab called OpAns where  
16 HPLC tandem mass spec is used to quantify actually 13  
17 hormones. I'll talk a little bit in a few more slides  
18 about the 13, or is it 11, how many hormones? The  
19 quick answer is we tried to measure 13, but we can  
20 only use the data for 11. I'll talk more about that.

21 I wanted to point out for you -- in the  
22 white paper there are some very detailed tables and  
23 text about the methodological differences between the  
24 guideline version and the high-throughput version.

1       What I've tried to pull out for you here are the  
2       primary differences between these methods. I've  
3       sorted these differences kind of by their stage in the  
4       approach and which aspect they relate to. Then here I  
5       have the OEC test guideline 456, and then the actual  
6       implementation that we used in our screening.

7                 The key difference is that forskolin  
8       pre-stimulation that you don't typically see in the  
9       guideline version of this assay, in addition to the  
10      fact that we've miniaturized the assay to a 96 well  
11      plate version. Typically, contract labs are running  
12      this in much lower density, like a 24 well or maybe a  
13      48 well plate. So we've really increased the  
14      throughput on that.

15                There are a few other differences here  
16      like replicates. Again, to increase our efficiency,  
17      we have fewer biological replicates, we have fewer  
18      technical replicates. I have some slides at the end  
19      today to look at reproducibility, and actually we are  
20      capturing, I think, the variability that we need to  
21      capture in order to reproduce the results.

22                Then acceptable cell viability. Some  
23      have pointed out this minor difference here where in  
24      the guideline it says that you need to have your

1 minimum cell viability be 80 percent. We've allowed  
2 for 70 percent.

3 The brief answer for that -- and if  
4 there are questions, I can go into more depth -- is  
5 that based on the median absolute deviation around the  
6 baseline of the MTT assay, it would be difficult to  
7 discern effect of less than 30 percent. Considering  
8 that there is noise around the baseline and we've  
9 screened over 2,000 chemicals with the MTT assay, we  
10 can really define what baseline noise looks like, and  
11 so that's why that 30 percent threshold was selected.

12 Are there any questions? I see some  
13 nodding around the -- all right.

14 Finally, when you're considering  
15 development of a high-throughput assay, you really  
16 want to consider quality metrics that would indicate  
17 to you that that assay is robust enough to use in a  
18 screening environment to know that you could actually  
19 distinguish signal from noise. And that you can get a  
20 sufficient effect size in the correct direction.

21 That's what this slide aims to explain.

22 The table presented here is actually a  
23 reproduction of Table 2 from Karmaus, et al. 2016. On  
24 the left are the steroid hormones that were reported

1 in that publication. And then I have the Z-prime or  
2 Z-prime factor, and the strictly standardized mean  
3 difference shown for two different reference  
4 chemicals.

5 Z-prime, as you know, is a measure of  
6 sufficient signal to background distance in low enough  
7 variability that you could actually distinguish a  
8 reference chemical from simple noise. The strictly  
9 standardized mean difference is a measure of effect  
10 size and directionality. You can see here for  
11 forskolin, which is the stimulatory reference  
12 chemical, and prochloraz, which is the more inhibitory  
13 reference chemical, we have Z-prime factors of  
14 generally over 0.5. In some cases, approaching 0.8 or  
15 greater. Any Z-prime factor greater than 0.5 is an  
16 assay that you would probably be able to run in a  
17 high-throughput screening environment and be able to  
18 distinguish signal. So we think the performance there  
19 was quite good based on these reference chemicals.

20 For the strictly standardized mean  
21 difference, or SSMD, the absolute value of that was  
22 greater than seven a majority of the time. We think  
23 this demonstrated robust effect size and  
24 directionality. You can see for prochloraz, this is

1 an inhibitory chemical for most of the pathway, and we  
2 see a generally negative value.

3 You can see an exception here is  
4 progesterone, where actually prochloraz appears to  
5 stimulate it. But for many of the hormones it's  
6 inhibitory, and we see the correct direction of  
7 effect. So, taken together, these assay quality  
8 metrics signal to us that this would be an appropriate  
9 assay in a screening environment.

10 Are there any questions on that  
11 section?

12 **DR. SCOTT BELCHER:** I had a question  
13 about the forskolin stimulation. Is this stimulation  
14 above your pre-treatment level? Is this a second  
15 stimulation or is it that single stimulation? I don't  
16 understand that.

17 **DR. KATIE PAUL FRIEDMAN:** It's a single  
18 stimulation event right after plating for 48 hours.

19 **DR. KRISTI PULLEN FEDINICK:** I had a  
20 question about the pre-screening process. In the --  
21 is it Karmaus paper? Is that how you pronounce that  
22 last name? In the Karmaus paper they found that when  
23 they pulled from the zeros, right -- so, the ones that  
24 had no reaction or no effect in the initial high dose

1 prescreen -- that 53 percent of those had an effect  
2 when they went back and rescreened them. So, they  
3 just pulled those out.

4 It makes me wonder about the  
5 prescreening process and if you're -- if you have 86  
6 percent accuracy, let's say with that, but you're  
7 missing 50 percent or more of your chemicals that only  
8 had a single dose actually did have activity, is this  
9 prescreening throwing out things that could ultimately  
10 have an effect later on?

11 **DR. KATIE PAUL FRIEDMAN:** To clarify a  
12 little bit, I think I know the table that you're  
13 talking about from that paper. It's a supplemental  
14 table and it looks at the recall sensitivity. It  
15 looked at if you had an effect on four or greater  
16 hormones, the recall sensitivity, i.e. your ability to  
17 get the same effect a second time, was 86 percent.  
18 So, 86 percent of the time you got the same effect.  
19 Whether it was positive or negative, there was  
20 agreement, there was concordance.

21 But then when you dropped to an effect  
22 on three hormones or fewer, the recall sensitivity  
23 dropped to 53 percent, meaning that it became harder  
24 to replicate the same effect. Basically the way I

1 interpret that is that you see for chemicals that act  
2 weakly in the pathway, you can see some borderline  
3 responses and borderline responses are always hard to  
4 replicate.

5 From a biological perspective -- that's  
6 kind of the statistically reasoning, right? But from  
7 a biological perspective, let's say you inhibited  
8 aromatase. You inhibited SIP-19A1. You would imagine  
9 actually -- in theory, you would impact four hormones  
10 because you would impact the production of estrone and  
11 estradiol, and also you would impact the relative  
12 concentrations of these androgens. From a biological  
13 perspective, you might hypothesize that that might be  
14 a limit that would be of biological interest.

15 Later on in development of the  
16 prioritization metric, we have a Venn diagram that  
17 looks at the number of chemicals that affected each  
18 class of steroid hormones. Actually, for most of the  
19 chemicals that we screened in concentration response,  
20 they affected more than one class.

21 Yes, in theory, you could miss  
22 something, but it's likely that it could be borderline  
23 -- a borderline response that is hard to replicate.  
24 Of course, given more resource, you could go back and

1 you could probably find some chemicals that you could  
2 rescreen. Everything is always about resource  
3 efficiency in screening, and so of course, you could  
4 consider ways to revise that and try to pull more  
5 lists.

6 **DR. KRISTI PULLEN FEDINICK:** Just to  
7 clarify. In the paper they said that 64 of the  
8 additional 121 chemical samples that didn't meet the  
9 concentration response selection criteria altered at  
10 least one hormone. They took their initial 411  
11 chemicals, or whatever it was, and then pulled an  
12 additional 121 chemicals out of those that didn't have  
13 a response and then ran those again and found that  
14 there was a response -- or 53 percent of those had a  
15 response. Did you do something similar?

16 **DR. KATIE PAUL FRIEDMAN:** It's recall  
17 sensitivity. It's not whether or not they had a  
18 response, it's whether or not the response was  
19 concordant between trials.

20 **DR. KRISTI PULLEN FEDINICK:** Well, it  
21 says the selection sensitivity.

22 **DR. KATIE PAUL FRIEDMAN:** Right, so  
23 it's the recall.

1                   **DR. KRISTI PULLEN FEDINICK:** But then  
2 those -- let's say 64 out of 121 is a little bit more  
3 than 50 percent, right? Those had a response -- so,  
4 those chemicals wouldn't have been included in that  
5 initial screen because they would have failed the  
6 prescreen?

7                   **DR. KATIE PAUL FRIEDMAN:** Right.

8                   **DR. KRISTI PULLEN FEDINICK:** My  
9 question is, did you do something similar for this  
10 where you -- if you took the 626, or however many  
11 chemicals you had -- did you pull also from the pool  
12 that failed your prescreen to go back and see whether  
13 or not you had responses? And these were randomly  
14 selected, those 121.

15                   **DR. KATIE PAUL FRIEDMAN:** We've added  
16 some chemicals since the Karmaus, et al. paper. There  
17 are another 85 chemicals that we've screened in  
18 concentration response since then. So the numbers  
19 have slightly bumped up since that publication was  
20 released. Within the 656 are the 524 that were in  
21 Karmaus, et al., so they're the large kernel to what  
22 we're presenting here.

23                                   Basically the expansion since the  
24 Karmaus, et al. paper was to include chemicals of

1 interest, like phthalates, we included more of that  
2 class. We included chemicals that perturbed three  
3 hormones. In the Karmaus, et al. paper, they had a  
4 cap at four. That was really resource limited. So,  
5 we were able to reach back and grab those that  
6 perturbed three. So, as additional resource was put  
7 in, we modified to be able to test more.

8 **DR. THOMAS ZOELLER:** Because the  
9 product of one enzyme reaction becomes the substrate  
10 for another -- and you kind of touched on this with  
11 respect to aromatase. But when you look at the data,  
12 can you identify specific probable -- specific enzyme  
13 steps that are affected by looking at a reduction in  
14 one set of steroids and an increase in another as you  
15 were pointing out?

16 **DR. KATIE PAUL FRIEDMAN:** That's  
17 actually an excellent question and we have worked a  
18 little bit on that issue. The way I understand your  
19 question is, basically, can you pull out select  
20 patterns that suggest mode of action?

21 I think that's part of the goal here,  
22 eventually, would be to do that. But there were so  
23 many patterns that it became difficult to discern  
24 single enzymes that would be acting.

1                   Some of the continuing work that's  
2 going in my group, and Woody Setzer's group, is to  
3 look at, could we build a kinetic model of the  
4 high-throughput H295R assay. If you're familiar with  
5 this area of toxicology, you know that there are  
6 several papers that have already examined the  
7 development of kinetic models and hypothesizes about  
8 mechanism of action within this cell line. They were  
9 optimized for a different version of the assay that  
10 didn't include pre-stimulation and was not  
11 miniaturized to 96 well. So, we've actually collected  
12 a little bit of time course information to see if we  
13 could build a kinetic model, but that right now is  
14 relegated to future interest and work.

15                   The focus today, actually, when we had  
16 this set of hormone data was to think, okay, if we  
17 don't understand exactly all of these patterns and  
18 what each pattern means, can we develop a greater  
19 meaning from the whole set, in terms of which of these  
20 chemicals would be the highest priority to look at.  
21 So the reasonable approach seemed to be to look at  
22 considering the magnitude of effect on the whole  
23 pathway, and not excluding any quadrant and just  
24 looking at magnitude of perturbation.

1                   **DR. JAMES MCMANAMAN:** I have a question  
2 about the MTT assay. Why did you choose that assay as  
3 an assay of death or of loss of cells? Because  
4 actually what it's based on is mitochondrial function,  
5 so you can have the same number of cells and decreased  
6 mitochondrial function will get a different MTT  
7 result. Since these steroid hormones are being  
8 synthesized, it requires mitochondria, it seems like  
9 it's a complicating --

10                   **DR. KATIE PAUL FRIEDMAN:** Right. The  
11 question of a cell viability assay is a good one. The  
12 MTT is commonly used in contract labs that run this.

13                   **DR. JAMES MCMANAMAN:** Yes, I know, but  
14 incorrectly.

15                   **DR. KATIE PAUL FRIEDMAN:** But also you  
16 would want functional mitochondria, because if you had  
17 mitochondrial toxicity specifically, that would  
18 confound steroidogenesis. So I would imagine that  
19 that would be an appropriate cell viability assay.

20                   **DR. JAMES MCMANAMAN:** Right, but I  
21 don't know whether it's cell viability or  
22 mitochondrial function. It seems to me to be  
23 important to know the difference whether it's one or  
24 the other, because it affects your interpretation.

1                   **DR. KATIE PAUL FRIEDMAN:** Yes, that's  
2 an interesting point, and we could consider that  
3 further.

4                   **DR. REBECCA CLEWELL:** Can you go to the  
5 slide that shows the staged screening? Slide 97? Do  
6 you have the same numbers as me? Thank you.

7                   The plot on the right is used -- if I  
8 heard you correctly; and I may not have, so please  
9 correct me -- is to justify a minimum cutoff of three  
10 or more hormones to be considered for further  
11 screening. Is that right?

12                   **DR. KATIE PAUL FRIEDMAN:** Yes, with  
13 some caveats. Most of the chemicals screened in  
14 concentration response come from where this blue box  
15 is outlining where those chemicals perturbed three or  
16 more steroid hormones. Some of the chemical screening  
17 concentration responses, as Dr. Pullen Fedinick  
18 already mentioned, were pulled from the sort of  
19 negative, the zero to two slot. Some were pulled from  
20 reference chemical lists, and some were added simply  
21 because they were chemical classes of interest. So  
22 this wasn't the only criteria, but it was the main  
23 criteria.

1                   **DR. REBECCA CLEWELL:** I have some  
2 questions about that, because the idea that -- so, 656  
3 chemicals -- or potentially somewhere around there --  
4 have three or more. But at least 400 have one or two  
5 hormones that have changed, according to this plot  
6 here. Right?

7                   **DR. KATIE PAUL FRIEDMAN:** Right, you're  
8 right.

9                   **DR. REBECCA CLEWELL:** So, there's not  
10 that big of a difference to say the majority of the  
11 chemicals had three or more? I would say about 400 of  
12 them had between one and two. Am I reading this plot  
13 wrong?

14                   **DR. KATIE PAUL FRIEDMAN:** I guess I'm  
15 confused by the question, so maybe I'll just rephrase.  
16 The reason that this was done was to maximize resource  
17 efficiency. So, you can see that there were a number  
18 of chemicals that hit zero steroid hormones, and then  
19 a number that hit one or two. So those were  
20 considered a lower priority for multi-concentration  
21 screening. So we proceeded in an iterative fashion,  
22 screening chemicals. Most of that set affected three  
23 or four or more steroid hormones.

1                   **DR. REBECCA CLEWELL:** Did you guys look  
2 into whether you could classify -- I understand what  
3 you're saying about there being a sort of intuitive  
4 biological reason why you would see three or four  
5 changed at any one time, because this is all related.  
6 On the other hand, was there sort of an evaluation of  
7 whether these chemicals where you see one or two or  
8 more towards the terminal end of the pathway?

9                   **DR. KATIE PAUL FRIEDMAN:** Right. So,  
10 would you see only an effect on, say, estrone and  
11 estradiol?

12                   **DR. REBECCA CLEWELL:** Yes.

13                   **DR. KATIE PAUL FRIEDMAN:** We could go  
14 back and -- I haven't asked that specific question. I  
15 have looked back through the list that we screened in  
16 single concentration to see how many affected only  
17 estrogen and androgen. That would essentially be a  
18 list that you could consider doing more follow-up  
19 screening on. But, as I mentioned before, there is a  
20 Venn diagram later in my presentation that shows that,  
21 really, a lot of the chemicals there were highly  
22 potent and acted within this pathway, hit multiple  
23 pathways. So, that wasn't really the norm for what we  
24 observed.

1                   **DR. REBECCA CLEWELL:** I'll wait until I  
2 see the Venn diagram before I ask about that. The  
3 other sort of -- the question that sort of comes out  
4 of what you said, then, is so moving forward -- and I  
5 understand the need to use resources wisely. I don't  
6 have a lot of money myself, right?

7                   But the question is, moving forward, if  
8 you were moving into application in an EDSP type  
9 program, would that be something you would recommend,  
10 is that we have a minimum cutoff of three hormones  
11 that are changing in the pathway before we consider  
12 moving forward? Is this high concentration testing,  
13 and then a cutoff of three or more hormones, would  
14 that be the sort of schema that you would recommend  
15 for an EDSP type situation?

16                   **DR. KATIE PAUL FRIEDMAN:** I'm not sure  
17 of the answer to your question. I think that if you  
18 were using this in the EDSP realm, maybe you would  
19 have the resource to say, this is the chemical list of  
20 interest and so we'd like to have it completely  
21 screened in multi-concentration.

22                   I think depending on the resources  
23 available, probably if you had a list of chemicals

1 that were of concern, you would want to do the  
2 multi-concentration screening.

3 Keep in mind, we were working with a  
4 library that was much bigger and subject to broader  
5 screening like Richard Judson mentioned. And we  
6 weren't working from a specific EDSP list. But if we  
7 were, you might implement the assay differently. You  
8 might just go straight to multi-concentration after  
9 doing a little testing to see what your max  
10 concentration could be.

11 **DR. REBECCA CLEWELL:** Thank you.

12 **DR. JAMES MCMANAMAN:** One more  
13 question?

14 **DR. KRISTI PULLEN FEDINICK:** Yes. Did  
15 you retest the chemicals that were run in the Karmaus  
16 paper, or did you only do 130 to 140 extra?

17 **DR. KATIE PAUL FRIEDMAN:** No, this is  
18 the same data, but as you'll see, we've analyzed it  
19 quite differently.

20 **DR. KRISTI PULLEN FEDINICK:** But you  
21 did run new tests on only a very small subset, so  
22 there is no way for us to, say, go back and kind of  
23 come up with biological replicates because you reran  
24 the same exact ones that they did?

1                   **DR. KATIE PAUL FRIEDMAN:** Actually,  
2 later in the presentation I have a slide on chemicals.  
3 About 16 percent of the library was tested in more  
4 than one experimental block, and that's how we were  
5 able to examine reproducibility, because we do have  
6 biological replicates for those chemicals. So I have  
7 a slide directly toward your question at the end.

8                   **DR. KRISTI PULLEN FEDINICK:** But you  
9 only added an additional 100 or so chemicals to this  
10 particular exercise? Or these were all from the  
11 Karmaus paper?

12                   **DR. KATIE PAUL FRIEDMAN:** Since the  
13 Karmaus paper we've added a number of chemicals.

14                   **DR. JAMES MCMANAMAN:** I guess we can  
15 move on.

16                   **DR. KATIE PAUL FRIEDMAN:** Great. The  
17 next section of our talk is about comparing the  
18 results of reference chemicals used in the OECD  
19 inter-laboratory validation study, with the results of  
20 the high-throughput H295R assay.

21                   This really aims to answer this  
22 question, which is, does the high-throughput H295R  
23 assay replicate the estradiol and testosterone results  
24 of the low-throughput assay. I think this is a fairly

1 salient question and it speaks to whether or not you  
2 could use this assay.

3 To do this, we performed a comparison  
4 to the reference chemicals used by the OECD and their  
5 published inter-laboratory validation study.

6 Obviously as mentioned before, only two hormones were  
7 available for this comparison.

8 The high-throughput data were analyzed  
9 by a completely different method here than in the  
10 Karmaus paper. So I just want to make that  
11 distinction. The OECD test guidelines specifies a way  
12 to analyze these data. And so these data, instead of  
13 using the ToxCast data pipeline, as mentioned  
14 previously, were actually analyzed by ANOVA and post  
15 hoc Dunnett's procedure. The DMSO control data from  
16 the same plate were used for the sample comparison.

17 We used the same criteria for positive,  
18 as what was used in the Hecker, et al. paper, which  
19 was that two consecutive concentrations had to produce  
20 results that were significantly different from that  
21 DMSO control, or a positive at the max concentration  
22 that maintained at least 70 percent cell viability.  
23 We also applied a 1.5-fold change from DMSO control

1 threshold, which was applied to give context for very  
2 small changes in steroid hormone synthesis.

3 This comparison is essentially a binary  
4 comparison. We're comparing positive and negative  
5 between the reference chemicals and the  
6 high-throughput version of this. So, to do that,  
7 we've constructed some confusion matrices.

8 The OECD inter-laboratory validation  
9 results are from that Hecker, et al. paper, and were  
10 interpreted from Tables 3 and 4 in that published  
11 work. To give you an idea of how many chemicals were  
12 available for the comparison, the OECD  
13 inter-laboratory validation used 12 core reference  
14 chemicals. These were tested in five labs for that  
15 paper. And 10 of those 12 were screened in the  
16 high-throughput version of the assay.

17 Additionally, in the Hecker, et al.  
18 work, there were 16 so-called supplemental reference  
19 chemicals, and 15 of those were screened in the  
20 high-throughput version. The reason these are called  
21 supplemental, is because they were screened in only  
22 two test laboratories.

23 And this seems a little myopic, but  
24 these details are actually important because sometimes

1 the labs were not concordant. So, if you only have  
2 two labs, you're really left with an equivocal  
3 finding. And if you have five labs, but two or three  
4 don't find a lowest effect concentration, then you're  
5 again left with an equivocal finding. That's reviewed  
6 briefly here.

7 I think overall, the confusion matrices  
8 demonstrated good sensitivity and specificity, and a  
9 very good accuracy for the reference chemicals. You  
10 can see here at the top there is a table that lists  
11 the effect. I have divided this out by increased  
12 testosterone, testosterone up, and decreased  
13 testosterone, or down; and similarly, increased  
14 estradiol and decreased estradiol.

15 You can see that the accuracy all the  
16 way to the right, tends to be 0.8 to 0.95 in a best  
17 case scenario, depending on the effect type. In terms  
18 of the sensitivity, there are some case where we do  
19 extremely well, like testosterone up where they're all  
20 correct. Testosterone down, we had a more limited  
21 sensitivity; but, again, typically approaching pretty  
22 high numbers and a very good accuracy.

23 I'll put the accuracy in more context  
24 of the actual concordance between the labs themselves

1 than the OECD inter-lab validation to show you more  
2 graphically. These are the matrices that we  
3 developed. HT stands for the high-throughput data,  
4 and OECD obviously the Hecker, et al. data.

5 One commonality across all four  
6 matrices that you can see, is that there are a very  
7 limited number of true positives in relationship to  
8 the true negatives. That's a little bit of an  
9 unbalanced set for each effect type.

10 As I mentioned, putting this into a  
11 little bit of context, when you have those accuracy  
12 values that approach from 0.8 to 0.95, what does that  
13 really mean? What is an accuracy value that's really  
14 good enough? One way to ask the question would be to  
15 look at the actual agreement or concordance among the  
16 labs in the inter-lab validation itself.

17 So, for any effect on testosterone, the  
18 average concordance among labs was 0.88, 0.91, and  
19 0.90, for the core reference chemicals only, the  
20 supplemental reference chemicals only, and then the  
21 entire set together respectively. So, around 0.9.

22 And then for any effect on estrogen,  
23 similarly, the average concordance among labs was  
24 0.95, 0.84, and 0.89, for the core reference

1 chemicals, supplemental reference chemicals, and the  
2 entire set together.

3           Again, you see this kind of threshold  
4 at around 0.9, which actually we come very close to  
5 here with accuracies ranging from 0.8 to 0.95. We're  
6 probably reaching the limit of the ability to actually  
7 predict the OECD data with the high-throughput data,  
8 just based on the fact that not all five labs agreed  
9 each time, or were able to find a LOEC. This doesn't  
10 even speak to, kind of, the potency range that was  
11 found for each chemical. This was just a binarization  
12 of the data of positive and negative.

13           Are there any questions on that section  
14 before I move on?

15           **DR. KRISTI PULLEN FEDINICK:** I have  
16 tons of questions. For the testosterone down in  
17 particular, so you missed about 35 percent of the true  
18 positives, right? And this is your revised  
19 sensitivity. So, in the unrevised, you got rid of the  
20 nonoxynol-9, which made sense because of the chemical.  
21 But I wasn't clear as to why you got rid of -- what  
22 was the other chemical? Letrozole.

23           **DR. KATIE PAUL FRIEDMAN:** Letrozole?  
24 Yes, so letrozole was only removed from one of the

1 testosterone matrices because the only effect was  
2 found at 100 micromolar, and our MTC was like 1.4  
3 micromolar. So, it was orders of magnitude greater  
4 than what we were able to test. So that's why I  
5 excluded it.

6 And then nonoxynol-9, as you mentioned,  
7 for simplicity here in the presentation, I didn't go  
8 into it, but you're right. These are the revised  
9 matrices excluding nonoxynol-9 due to uncertainty in  
10 what the chemical tested in the OECD inter-laboratory  
11 validation actually was, and what molecular weight it  
12 would have corresponded to, and what structure. And  
13 letrozole was removed due to very large differences in  
14 the ability to test a max concentration.

15 **DR. KRISTI PULLEN FEDINICK:** For the T  
16 down, essentially for the unrevised, it was a coin  
17 toss as to whether or not you got the true positives,  
18 right? If it was about 50 percent?

19 **DR. KATIE PAUL FRIEDMAN:** Actually, I  
20 have another slide deck with those numbers in them.  
21 Sorry, please hold for the computer. These are the  
22 confusion matrices prior to the removal of nonoxynol-9  
23 and letrozole. You can see what Dr. Pullen Fedinick  
24 is talking about.

1           If you look at the testosterone down  
2 here, the sensitivity was 0.55 because we removed  
3 those two chemicals that had clear issues in our  
4 ability to screen them. Nonoxynol-9, because we  
5 aren't sure what the OECD screened. And letrozole,  
6 because there was a very large difference between our  
7 ability to test the concentration that they tested.  
8 It would have been cytotoxic at that concentration for  
9 us. We thought better to leave it as equivocal than  
10 to exclude it.

11           I think really the revised numbers are  
12 more reflective and more indicative and that's why I  
13 chose to include those in the presentation. But for  
14 the sake of transparency, I wanted to show within the  
15 paper that we did it two ways.

16           **DR. KRISTI PULLEN FEDINICK:** Can I just  
17 ask one follow-up? Just really quickly, for the  
18 accuracies that you showed -- I forget what slide that  
19 was; I don't have it up right now -- for the  
20 inter-laboratory validation. Do you know whether or  
21 not the sensitivity and specificity, what the values  
22 were for that? This is slide 106. If you just have  
23 your -- this is the average concordance -- maybe I'm  
24 missing this.

1 Do you have a sense of what the  
2 sensitivity was for the other tests? If we can  
3 compare apples to apples rather than just the balanced  
4 accuracy. If I'm more concerned with sensitivity, for  
5 example, as the EDSTAC recommended that the EDSP do,  
6 then how can we tell whether or not that balanced  
7 accuracy is really being made up by the increase in  
8 specificity, or if it's how our sensitivity is doing  
9 in those other valleys?

10 **DR. KATIE PAUL FRIEDMAN:** I'm not sure  
11 I follow the question in there. There is a slight  
12 reduced sensitivity there for testosterone down. I  
13 guess I would also say that this perspective, while  
14 helpful in looking at fit for purpose validation, is  
15 only one dimension. If you're simply binarizing data  
16 into positive and negative based on an ANOVA and then  
17 whether or not you caused a 1.5-fold change, that's  
18 one way to look at the data that can be informative.

19 I would argue that actually the second  
20 half of our presentation is more quantitative and  
21 would relatively rank chemicals based on a metric that  
22 reflects the effects size and, to some degree, also  
23 the potency, would really be a more useful metric for  
24 use in the program. So I think I might separate in my

1 mind this approach as one approach that's commonly  
2 employed for fit for purpose validation versus  
3 screening the EDSP library and how we might prioritize  
4 them.

5           You might find a chemical that should  
6 have been a positive that was missed in  
7 high-throughput; but perhaps it's a pathway positive,  
8 so it affected other steroid hormones. Actually, we  
9 see that. One of the chemicals missed for an effect  
10 on estradiol is mifepristone. But it's an extremely  
11 strong agent against progestogens, so in the pathway  
12 approach we catch it and then we can relatively rank  
13 it using a quantitative value.

14           I think this is one way of comparing to  
15 the reference chemical performance, but I think a  
16 quantitative ranking that includes the whole pathway  
17 is another way that bolsters confidence in its use  
18 programmatically.

19           **DR. JAMES MCMANAMAN:** This slide will  
20 be put into the docket, so we'll have that information  
21 available. Can we move on?

22           **DR. KATIE PAUL FRIEDMAN:** Without  
23 further ado, we'd like to talk a little bit about  
24 solving that 11-dimensional problem. We've looked at

1 just estradiol and testosterone. We showed good  
2 accuracy in predicting the OECD reference chemical  
3 effects with the same chemical screen and  
4 high-throughput. But now we'd really like to expand  
5 beyond those two hormones to looking at the 11 steroid  
6 hormones that we could measure in this assay.

7 This slide speaks to a little bit of  
8 the numbers. This is a comment that I've received  
9 repeatedly, that we have a lot of numbers and we toss  
10 them around and we change. I sympathize. There were  
11 13 hormones that were measured in the high-throughput  
12 version of the assay. However, pregnenolone and DHEA  
13 were very often at concentrations that were below the  
14 lower limit of quantitation; in fact, 53 percent and  
15 almost 70 percent of all the measurements. And  
16 because of that, these two hormones were excluded.  
17 They just weren't present enough of the time to  
18 consider the data reliable. So, because of that, we  
19 used 11 hormones in this analysis.

20 To confuse it further -- I didn't put  
21 it on the slide -- there are 10 hormones in the  
22 Karmaus, et al. paper. We've done a reanalysis of the  
23 data where we're using the DMSO control and analyzing

1 the data completely differently. So, we had different  
2 criteria for inclusion.

3 Here is an example of the  
4 11-dimensional results for prochloraz, plotted in a  
5 very traditional way that we will all recognize. On  
6 the Y-axis is the measured analyte in micromolar. And  
7 then we have along the X-axis the concentration of the  
8 chemical, prochloraz. Then we have 11 different plots  
9 for 11 different steroid hormones.

10 You can see, as discussed previously,  
11 typically it's an inhibitory action of prochloraz,  
12 except for a few of the hormones like progesterone.  
13 There is a stimulation of the synthesis. But  
14 particularly for the androgens, estrogens, and  
15 corticosteroids, there is an inhibitory action.

16 But, ideally, you can't really look at  
17 11 plots all the time for 10,000 chemicals that you  
18 might want to rank. It's not very practical, and how  
19 would you decide? So, you need a mathematical way, a  
20 statistical way of compressing this into a value.  
21 That was our goal.

22 What we can learn from these other  
23 steroid hormones, it has been coming out a little bit  
24 in our conversation, but there are other biological

1 advantages to including these data. For instance,  
2 additional evidence for disruption of estrogen or  
3 androgen synthesis. If you were to upregulate  
4 aromatase like atrazine does, then you would see an  
5 effect on estrone and estradiol. And seeing that  
6 concordance across two analytes might give you greater  
7 confidence that that's what happened.

8 As discussed with Dr. Zoeller's  
9 question, you could possibly see punitive mechanisms  
10 of steroidogenesis disruption. And in some cases this  
11 is more clear than others. Prochloraz it's very  
12 clear, and for the conazole fungicides it's very clear  
13 that across the board there is a very strong  
14 inhibitory action. You could cluster chemicals  
15 together and say this chemical kind of behaves like  
16 these other chemicals, and have some learning there.

17 Finally, you might also learn something  
18 about effects on other specific steroid hormone  
19 classes, namely the corticosteroids and progestogens.  
20 Within this model, although it's artificial, you can  
21 imagine that given enough concentration and time, an  
22 effect upstream on progestogens would propagate to the  
23 biosynthesis to the downstream androgens and  
24 estrogens.

1                   This is the promised Venn diagram. I  
2 wanted to show that most of the screened library  
3 actually affected multiple steroid hormone classes.  
4 This Venn diagram includes the chemicals screened in  
5 multi-concentration. Actually, if you have your  
6 calculator, this will only add up to 628 chemical  
7 samples. There were 653 chemicals that we were able  
8 to include in this analysis out of the 656 that  
9 affected at least one steroid hormone. So, those that  
10 affected zero are not in the Venn diagram.

11                   What you can see here is that there is  
12 one chemical that affects androgens alone. There are  
13 eight chemicals that affect estrogens alone. Only one  
14 chemical that affected only those two steroid hormone  
15 classes.

16                   Much more compelling is the 307 number  
17 in the middle, which suggests that a very large  
18 percentage of the chemicals actually affected all four  
19 steroid hormone classes, which you would expect given  
20 the criteria that we used in the staged implementation  
21 of this assay. Very few of the chemicals affected  
22 only progestogens or only corticosteroids, or even  
23 only the union of those two.

1           The metric we chose to compress this  
2 11-dimensional data into one dimension is called the  
3 Mahalanobis distance. And the Mahalanobis distance  
4 essentially adjusts for the variance and covariance  
5 among the steroid hormones in the set prior to  
6 calculation of the Euclidean distance. Really the  
7 purpose of this is so that you can understand the  
8 effect size and have it be truly representative,  
9 rather than difficult to discern based on the  
10 correlation of the residuals on these measures.

11           You can imagine that the residuals on  
12 these measurements might be correlated for a couple of  
13 different reasons you might hypothesize. The hormones  
14 were measured from the same experimental well, from  
15 the same medium. And the synthesis of these steroid  
16 hormones is obviously interdependent in that pathway.

17           The Mahalanobis distance then adjusts  
18 the distances or effect sizes, accounting for  
19 knowledge of that interrelatedness of the steroid  
20 hormone measurements, without biological knowledge,  
21 just knowledge of the correlation of the residuals on  
22 the measures.

23           I wanted to provide some support that  
24 this is actually true. That the residuals for the

1 steroid hormone measures are correlated. And this  
2 heat map does that. I'm going to walk you through it  
3 a little bit.

4 The top half above this diagonal is  
5 actually the same as the bottom half. You can only  
6 focus on one, if you like. Basically, the deeper the  
7 blue color, the greater the positive correlation  
8 between the residuals on the measures of the hormones.  
9 For instance, estrone and estradiol had highly  
10 correlated residuals with a Pearson's R of 0.75.  
11 Androstenedione and testosterone had a very high  
12 correlation, as well as cortisol and 11-deoxycortisol.  
13 You can see some examples where we have this positive  
14 correlation between residuals, which suggests that if  
15 we just use Euclidean distance, we might overestimate  
16 some of the effect sizes observed. So, we use the  
17 Mahalanobis distance to correct for that.

18 This is a slide to try to explain the  
19 Mahalanobis distance and just forewarning, I'm not  
20 actually a statistician by training. But I think this  
21 is a good way to understand it, so let me walk you  
22 through it a little bit.

23 On the left we have a graph where the  
24 Y-axis is some hormone, B. The X-axis is Hormone A.

1 Then we have three screen concentrations of some  
2 imaginary chemical. These three screen concentrations  
3 are three points in this two-dimensional space defined  
4 by these two hormones, A and B.

5 This dotted line is the error  
6 distribution around these two hormone measurements.  
7 What you can see is that it is an ellipse. It's  
8 elongated in the Y direction, which means there is a  
9 greater error on the measurement of Hormone B than  
10 Hormone A.

11 If you look at the effect sizes of  
12 concentration one to three, and concentration one to  
13 two, you can see that they almost look equal if you  
14 just look at those vectors, but that actually  
15 concentration three is more standard deviations away  
16 from concentration one than concentration two, because  
17 it's breaking free of this error ellipse.

18 What we'd like to do is put this on a  
19 scale where the hormone concentrations are  
20 uncorrelated. They have the same standard deviations  
21 so you can see this effect size difference more  
22 easily. That's the graph on the right.

23 We have the rotated and scaled axis  
24 two, the rotated and scaled axis one. And you can see

1 now, concentration one and two and three as the points  
2 in that two-dimensional space. Again, the error  
3 distribution around the measure of these hormones  
4 represented by the dotted line -- which is now a  
5 circle, meaning that the standard deviation is the  
6 same for both hormones in this two-dimensional space.  
7 And now you can really see the effect size of  
8 concentration three is actually many more standard  
9 deviations away from concentration one than  
10 concentration two. Conceptually, this is what's done  
11 prior to computation of the Euclidean distance in this  
12 new space.

13                   Unfortunately, we have an  
14 11-dimensional space which is very hard to visualize.  
15 We've shown two dimensions, but really what we've done  
16 is Mahalanobis distance computation in 11-dimensional  
17 space where each axis corresponds to the natural log  
18 of the measured concentrations of one of the hormones  
19 in this analysis.

20                   In brief, basically the degree to which  
21 variation among the replicates is correlated across  
22 hormones was estimated. The covariance matrix that  
23 characterizes both the noise variance and the  
24 correlation among hormone levels across replicates,

1 after taking into account the chemical concentration,  
2 was constructed. Computation of the mean Mahalanobis  
3 distance at each concentration of chemical was  
4 screened; and I'll show you the equation for that on  
5 the next slide.

6 This is the derivation of the mean  
7 Mahalanobis distance. Most of this equation just  
8 follows the form of the Mahalanobis distance derived  
9 by Mahalanobis in 1936. The one key difference is  
10 that we're calculating a mean Mahalanobis distance  
11 that's related to the number of hormones available at  
12 that chemical concentration.

13 Here in equation one you see the mean  
14 Mahalanobis distance, or mMd is equal to the square  
15 root of the transpose matrix of the natural log  
16 transformed steroid hormone concentrations at  
17 concentration  $c$  -- it's basically the transpose matrix  
18 of the fold change data using the DMSO as the control  
19 -- times the inverse of the covariance matrix  
20 estimate, times the matrix of the fold change values  
21 at that chemical concentration  $c$ . All divided by the  
22 number of hormones available.

23 From that, at each concentration of  
24 chemical, you'll have a mean Mahalanobis distance.

1 You'll have a set of mMd values from which you can  
2 select the maximum Mahalanobis distance, which is what  
3 we've done here. As the mean Mahalanobis distance  
4 generally increases with increasing concentration, a  
5 greater maxmMd should increase with increase in  
6 concentration of chemical and increased potency, i.e.  
7 activity at lower concentrations. This is true if the  
8 plot of the mean Mahalanobis distance by concentration  
9 is monotonic.

10 Just a little bit of detail on the  
11 covariance matrix estimation, really just a brief  
12 overview of how that was done. The estimated  
13 covariance matrix essentially characterized the noise  
14 variance and the correlation among the measured  
15 steroid hormone concentrations across replicates. The  
16 covariance matrix was computed for the multi-variant  
17 response, which ranged from nine to 11 steroid  
18 hormones per chemical screen.

19 If any data were missing, the hormone  
20 measure was dropped from that block prior to linear  
21 model fitting. This only affected one of the eight  
22 screening blocks performed, which included 81  
23 chemicals, preceded with nine of the 11 hormones.

1                   Then the unweighted average of those  
2 eight block specific covariance matrices was used as  
3 the full pooled 11 by 11 covariance matrix. So  
4 really, it's an estimate that was used in the mean  
5 Mahalanobis distance calculation.

6                   I want to get to some more practical  
7 examples to show you how this worked. Here is an  
8 example of a chemical with moderate effects using this  
9 pathway approach. It's atrazine. On the left-hand  
10 side is a radar chart where each spoke of the radar  
11 chart is a hormone in our analysis.

12                   In the middle there are some red dotted  
13 lines that indicate 1.5 or 1.5-fold, the vehicle  
14 control, just to give you some context. As the color  
15 of this line intensifies to become more blue, it's a  
16 higher concentration of atrazine.

17                   What you can see is an effect largely  
18 on the estrogens and some of the corticosteroids kind  
19 of pulling the effect away from the center of the  
20 radar chart, showing you that it's really driving that  
21 response in a positive direction, exceeding that  
22 1.5-fold vehicle control.

23                   On the right-hand side is the  
24 compressed data. This is a plot of the mean

1 Mahalanobis distance by concentration of chemical.  
2 You can see here in the red dotted line, we've  
3 annotated the critical limit, which was simply derived  
4 so that you could have a threshold to discern an mMd  
5 that was greater than what would result from sampling  
6 noise. It's not necessarily biological criteria, not  
7 at all. It's really just bounding the sample noise.

8           And you can see here with increase in  
9 concentration of atrazine, you have increasing mean  
10 Mahalanobis distances and we've selected the maximum  
11 here, annotated with this blue box. This yielded a  
12 moderate adjusted maxmMd of -- that number is not  
13 correct. It should 3.14. I don't know why it says  
14 that.

15           Here is an example of a negative  
16 chemical, benfluralin. You can see here on the left,  
17 again, the same radar chart style where the spokes  
18 represent steroid hormones and intensifying blue  
19 concentration represents increase in concentration of  
20 benfluralin. You can see that all of the  
21 concentrations for benfluralin fall within that plus  
22 or minus 1.5-fold control. This provides an example  
23 of a chemical with a negative pathway result.

1           You can see to the right, the critical  
2 limit now is at the top of the graph because the  
3 Y-axis is different here. You have a distance here of  
4 about 1.8 being the critical limit. And all of the  
5 mean Mahalanobis distances, including the max, fall  
6 below that. So, this was negative.

7           Here is a third example, a strong  
8 effect of a chemical is mifepristone, also known as  
9 RU486. This chemical had very strong effects on  
10 progesterone, steroid hormone synthesis visualized here  
11 to the right on the radar chart. You can see, on the  
12 right-hand side of the slide, the mean Mahalanobis  
13 distance plot. Even at the lowest concentration  
14 tested, we have a mean Mahalanobis distance of about  
15 10 and then the high adjusted maxMd was not 171. It  
16 was, I want to say, 33 or something like that. Sorry,  
17 I don't know why the numbers are changed from my  
18 slides.

19           Those are just a few examples of kind  
20 of how this data flow worked from taking 11 steroid  
21 hormones to a compressed vision of using mean  
22 Mahalanobis distances, and then selecting that maximum  
23 as a number that could be used for prioritization.

1                   Now I want to show you a couple slides  
2 that focus on different ways of looking at the data,  
3 some reproducibility, things like that. MaxmMd was  
4 generally reproducible and quantitatively  
5 distinguished chemicals with larger effects.

6                   I want to talk a little bit about this  
7 graph with you. On the Y-axis is the maxmMd value and  
8 each dot is a chemical. Across the X-axis is a  
9 steroid hormone hit count. I thought this was an  
10 interesting way to look at the data. One of the  
11 initial questions that I received was, Katie, you got  
12 this Mahala-something distance, and could you just add  
13 the steroid hormone hit count up and that would give  
14 you relative priority?

15                   Well, there are a couple problems with  
16 that. One is that you only have 11 numbers and you  
17 don't have enough range to distinguish chemicals. The  
18 other issue was it doesn't really quantitatively  
19 distinguish chemicals very well. I'll show you how  
20 the maxmMd does maybe a better job than that very  
21 simplistic type of view.

22                   The first example is looking at these  
23 negative maxmMd values. You can see here on the lower  
24 end of the Y-axis there are these clear circles. The

1 clear circles indicate chemicals for which the pathway  
2 response was negative. And you can see in terms of  
3 steroid hormone hit count, they actually span zero to  
4 six. Mostly clustered zero to three, but one kind of  
5 hanging out over here.

6 And what this suggests is that you can  
7 have extremely borderline responses as annotated by an  
8 ANOVA that maybe on a quantitative basis are not that  
9 interesting. The maxmMd gives us a better way of  
10 distinguishing those really, kind of weak, borderline,  
11 maybe inactive chemicals from something that is  
12 stronger.

13 Bisphenol A is one of the reference  
14 chemicals in the OECD reference chemical set. But  
15 it's also a chemical often used as a reference in  
16 ToxCast because it's internally replicated in our  
17 library. It was actually screened three separate  
18 times, three separate chemical samples were used.

19 You can see here that actually for  
20 Bisphenol A, the maxmMd was pretty constant at about  
21 five. I've boxed in these values here that all kind  
22 of run across five. But the steroid hormone hit count  
23 ranged from five to seven. You see a very stable  
24 maxmMd, but kind of a variable steroid hormone hit

1 count. Again, that's part of the issue with  
2 binarizing data as positive or negative. It's to be  
3 expected.

4 Another example, two more OECD  
5 reference chemicals, looking at where they fall. So,  
6 EDS is ethylene dimethanesulfonate, and finasteride.  
7 They actually have the same hit count, but very  
8 different maxMds. EDS is this inverted clear red  
9 triangle at the bottom. At the top, the orange circle  
10 is finasteride. Finasteride had a maxMd exceeding  
11 10, whereas EDS was actually a pathway negative. So  
12 you can see, we have the ability to distinguish a  
13 really strong effect from maybe a set of very weak  
14 effects, and quantitatively distinguish those  
15 chemicals.

16 More evidence looking at the  
17 reproducibility of the maxMd -- and I think maybe Dr.  
18 Pullen Fedinick asked about this, or maybe Dr.  
19 Clewell. We actually did look at reproducibility  
20 using 107 chemicals of our set that were replicated in  
21 more than one experimental block. This subset of  
22 chemicals had maxMds that ranged from one to 35,  
23 which covered most of our range for maxMd. So, it's  
24 a pretty representative subset.

1                   It's represented here by the graph. On  
2 the left-hand side are very, very tiny chemical names  
3 that you probably cannot read. On the X-axis is the  
4 maxmMd. You can see for each chemical, each sort of  
5 parallel line here, there is usually two or maybe  
6 three circles indicating that it was tested in two or  
7 three blocks.

8                   The black solid circles indicated that  
9 there was a pathway positive, so a maxmMd that  
10 exceeded the critical limit for that chemical. And  
11 the clear circles, which are really clustered down in  
12 the lower left-hand corner, were pathway negatives  
13 using this approach, meaning that the maximum mean  
14 Mahalanobis distance was below the critical limit for  
15 that chemical.

16                   What you see is that actually 88  
17 percent of the maxmMd pathway response is replicated.  
18 That's just considering the pathway response is -- as  
19 binary, did it exceed the critical limit? So, 88  
20 percent of the time, we could get the same response if  
21 we tested in that replicate block. And to contrast  
22 that, we also looked at the recall with our ANOVA  
23 logic, and the recall on that was only 65 percent. We

1 think that perhaps there is some value in this  
2 approach.

3           You can see here that I've annotated  
4 the residual standard deviation for this chemical set  
5 as 0.33. Actually, we've used that to derive a 95  
6 percent confidence interval on predicting the maxmMd.  
7 Basically, it's plus or minus 1.5 units on the  
8 arithmetic scale, is what that comes out to.

9           I want to highlight one row that  
10 bothered me in particular, and maybe it stands out to  
11 you. There is this chemical here, 1,2,4-Butanetriol,  
12 where actually it looks like the standard deviation  
13 between those two replicates was pretty different.  
14 What we found was a sensitivity in our analysis.

15           So, this highlighted outlier  
16 demonstrated that the maxmMd is susceptible to missing  
17 data. The larger pathway response -- so the one  
18 shifted to the right, suggesting a higher maxmMd and a  
19 higher effect size -- resulted when much of the data  
20 for 1,2,4-Butanetriol was below the lower limit of  
21 quantitation. And we used a substitute basal value of  
22 LLQ divided by the square root of two.

23           Basically, that tells us that when we  
24 have missing data, we may inflate the effect size.

1 But that's going in a direction that maybe we can live  
2 with because it's a little bit of a false positive  
3 direction.

4 Finally, I want to bring it back to the  
5 OECD inter-laboratory reference chemical activity and  
6 show you some of the concordance for those chemicals  
7 and then how it lined up to the pathway response, and  
8 then how it lines up with the maxM<sub>d</sub> quantitatively.

9 To the right here, we have a little  
10 geometric tiling figure, and all of the OECD reference  
11 chemicals where we had an overlap with high-throughput  
12 screening are listed. On the bottom you can see  
13 annotated HT and OECD -- again meaning high-throughput  
14 and OECD, of course. And then E2 for estradiol or T  
15 for testosterone, and then up or down. So these are  
16 the different effect types. And in terms of color, to  
17 try to make it easier, I've coded all of the estrogen  
18 effect types as yellow, and the testosterone as green.

19 Where you see two yellow blocks  
20 together, for instance for estradiol down, that means  
21 that we saw agreement across the high-throughput and  
22 the OECD inter-lab validation, as already annotated in  
23 those confusion matrices. The gray blocks indicated  
24 an equivocal finding. So, you'll find some gray

1 blocks in our interpretation of the OECD work where  
2 the labs didn't agree. Then clear just means no  
3 effect.

4           Again, this is the concordance we've  
5 already walked through, but what's new here is the  
6 pathway response, which is annotated with these blue  
7 blocks. Blue means that there was a positive pathway  
8 response, i.e. the maxmMd was greater than the  
9 critical limit. And the clear blocks mean that either  
10 it was negative or not tested in concentration  
11 response.

12           Here we've added the maxmMd. Here it's  
13 the log 10 of the maxmMd, and the chemicals are  
14 actually ordered by this value. So, the highest  
15 maxmMd was for mifepristone and the lowest for  
16 nonoxynol-9. I think what you can see here -- there  
17 are a couple of things.

18           One is that if there were findings in  
19 the OECD set, there was typically a pathway positive.  
20 Even in cases where we may have missed the particular  
21 effect type. So, mifepristone is a great example.  
22 You see a yellow block here, where in the OECD  
23 inter-laboratory validation, estradiol was decreased.  
24 We didn't have that finding in high-throughput

1 screening, but we did have a very strong pathway  
2 response, so we were able to pick that up.

3           The other thing that I think stands out  
4 here is that the stronger reference chemicals tend to  
5 have high maxMds. So, mifepristone is an  
6 abortifacient drug. It's very potent in this  
7 biological space, and it comes out with a very high  
8 maxMd. But genistein, for example, in the OECD  
9 inter-laboratory validation, increased estrogen  
10 responses by greater than 20-fold in some cases. It  
11 ends up being one of the stronger actors in our set as  
12 well. Ketoconazole, danazol, prochloraz, fenarimol,  
13 letrozole, and Asteride, these are all either  
14 pharmacologic agents or conazoles, and so they're well  
15 known to act on this pathway.

16           And then kind of this middle chunk of  
17 chemicals with moderate maxMds are chemicals that  
18 maybe aren't necessarily pharmacological agents  
19 targeted to this pathway, but still have a reasonable  
20 response. Then negative chemicals like EDS were  
21 negative for the pathway response. I think in  
22 general, this really shows you how to pair together  
23 that concordance confusion matrix set and the pathway  
24 approach.

1                   The biggest caveat to trying to do  
2 this, of course, is that the reference chemical  
3 effects on progestogen and corticosteroid or  
4 glucocorticoid hormones is mostly unknown. So, the  
5 pathway response will reflect any effect on the four  
6 steroid hormone classes; whereas, we typically don't  
7 have that information for all of the reference  
8 chemicals.

9                   I'm happy to take questions on that  
10 meaty section before I move on.

11                   **DR. KRISTI PULLEN FEDINICK:** I have a  
12 question. I just wanted to try to understand the  
13 recall, so for the ANOVA -- the comparison to the  
14 pathway and the ANOVA. So, you had 85 percent that  
15 were replicated across blocks, but then 65 percent --  
16 does that mean that whether or not it was positive or  
17 negative in the pathway, you had 85 percent of  
18 concordance essentially?

19                   **DR. KATIE PAUL FRIEDMAN:** Yes, just  
20 concordance. Not saying which direction. Just that  
21 they agreed.

22                   **DR. KRISTI PULLEN FEDINICK:** And then  
23 65 percent was saying that, so the 11 hormones could

1 change between those? So, it might not be the same 11  
2 that you're seeing? Is that correct or no?

3 **DR. KATIE PAUL FRIEDMAN:** Let me think  
4 for a second. I lost you in your question. Let me  
5 rephrase a little bit. The 88 percent of the maxMmd  
6 pathway response is replicating, just means it was  
7 negative both times or it was positive both times.  
8 Whereas, the ANOVA recall, we lumped all of the  
9 steroid hormones together and just said, okay, was it  
10 either negative both times or positive both times.  
11 And then just looking at all of those responses  
12 together.

13 So, only 65 percent of those responses  
14 were concordant from block to block. That really  
15 highlights how you call a hit is really important.

16 **DR. KRISTI PULLEN FEDINICK:** Do the  
17 hormones replicate themselves? If you're looking at  
18 whether or not the same 11 -- do they have the same  
19 patterns across the blocks? Does that make sense? If  
20 you have --

21 **DR. KATIE PAUL FRIEDMAN:** Oh, yeah.  
22 Okay. That's a different question than I was  
23 thinking. In this case that's not what we were  
24 examining, but we could look at that to see which

1 hormones -- I mean, then again, you're back to the  
2 ANOVA logic to determine which hormones went up  
3 individually.

4 But you could look at, for instance,  
5 did the mean Mahalanobis distance that was at the  
6 critical limit -- so the threshold mean Mahalanobis  
7 distance -- what hormones were altered at that  
8 concentration, and were those same hormones altered in  
9 that concentration in replicate testing. I think that  
10 might be a way to answer that kind of question, and  
11 probably relevant if you were considering implementing  
12 it programmatically.

13 **DR. JAMES MCMANAMAN:** Other questions.  
14 Dr. Clewell?

15 **DR. REBECCA CLEWELL:** I know, I feel  
16 like I just keep asking all the questions. I want  
17 someone else to speak up. I'm going to admit that the  
18 Mahalanobis is way outside of my comfort zone, so I'm  
19 hoping we have some awesome statisticians on our panel  
20 that will take care of that.

21 When you look at the Venn diagram --  
22 I'm going to contend that you sort of made this happen  
23 by forcing a cutoff of three. You don't have more  
24 than three hormones in any one of those hormone blocks

1 because two of them are dropped, right? So, when I  
2 went back and took those two out of the diagram of the  
3 hormones, three is your maximum number of hormones for  
4 any one group of -- you know, corticosteroids versus  
5 estrogens versus --

6 **DR. KATIE PAUL FRIEDMAN:** Right, there  
7 are some chemicals that we tested in  
8 multi-concentration that didn't affect any steroid  
9 hormone, for example. So, in this Venn diagram, only  
10 628 out of 653 could even be charted because they  
11 didn't affect anything. We do have a set within the  
12 set that affected only zero or one or two; but most of  
13 the set affected three or more.

14 **DR. REBECCA CLEWELL:** Right. Most of  
15 the set had a cutoff of three. This Venn diagram is  
16 basically -- it kind of was forced to say that it  
17 affects more -- the chemicals generally affect more  
18 than one pathway.

19 **DR. KATIE PAUL FRIEDMAN:** Well, yes,  
20 yes. That's true. Except that if, for instance, a  
21 lot of these chemicals only inhibited aromatase and  
22 that was the predominant mechanism, then you would  
23 anticipate only an effect on four steroid hormones

1 within the estrogen and androgen class, and that's not  
2 what we really observed.

3 For the set that we tested, for this  
4 over 600 chemicals, it looks like things did more than  
5 just inhibit CYP19A1. Because that would have  
6 resulted in three or four hormones being modified,  
7 right, two androgens, two estrogens; but it looks like  
8 that wasn't the typical pattern.

9 **DR. REBECCA CLEWELL:** I would contend  
10 that maybe it would be a stronger case if we looked at  
11 the larger group of chemicals.

12 **DR. KATIE PAUL FRIEDMAN:** I agree.

13 **DR. REBECCA CLEWELL:** But my question  
14 around this is, then what's kind of the purpose of  
15 this? It seems that this idea that the hormones will  
16 generally be broadly affected rather than specifically  
17 effected, is sort of an important key point to how we  
18 end up doing the analysis, which is through this  
19 combined metric. Is that kind of the driving force  
20 here to say a combined metric is more appropriate  
21 because we expect these not to be very specific  
22 responses? Is that sort of the result of that logic?

23 **DR. KATIE PAUL FRIEDMAN:** I don't know,  
24 Woody, if you have thoughts. But my opinion on that

1 is that it's not really making a comment so much on  
2 the biology, I guess, of choosing a maxmMd as the  
3 metric. It's more if we don't understand the kinetics  
4 of how all of these enzymes are acting together in  
5 this implementation of the cell model, then the  
6 easiest way to compress those data is statistically  
7 and looking at the effect size across the pathway.

8           There may be valuable biological  
9 information in this graph -- because I think what I  
10 found surprising was that basically we didn't have a  
11 concentration of chemicals that only affected two  
12 classes. We didn't see most chemicals only affecting  
13 estrogen and androgen. We saw chemicals affecting  
14 much more than that. I'm not sure that biology is the  
15 only driver of picking the maxmMd as a potential  
16 metric. I mean, I suppose in an ideal world you would  
17 be able to develop a kinetic model. That requires a  
18 lot more data and time.

19           There is probably more than one way to  
20 solve the problem, but I think the biology that comes  
21 out in this Venn diagram that is really interesting  
22 is, if you think back to EDSTAC recommendations, I  
23 think there was a large emphasis on aromatase  
24 inhibition as being a very primary target. This

1 suggests that, at least in this cell model, that may  
2 be one of the targets, but that there are others as  
3 well.

4 **DR. REBECCA CLEWELL:** With the combined  
5 score, Mahalanobis -- I don't even know if I'm saying  
6 that right. I kind of have the same question that I  
7 did for the AUC, the combined AUC. What's the sort of  
8 driver of the final score then? Does that kind of  
9 weight multiple hormones over potency for a particular  
10 hormone? What's the kind of key driving  
11 characteristics that would push the score up?

12 **DR. KATIE PAUL FRIEDMAN:** There are a  
13 couple of scenarios, right? There are several  
14 scenarios that you could imagine. One scenario is you  
15 could have moderate or low effects on many hormones,  
16 and actually be able to see that effect across the  
17 pathway. You could have effects that maybe weren't  
18 significant on their own, but when you combine them  
19 you see a statistically significant effect size across  
20 the pathway.

21 The other scenario is you could have  
22 one or two hormones driving a very potent response.  
23 Mifepristone is a prime example of that where we only  
24 see a significant response for two hormones, but it's

1 so strong that it's one of the largest effect sizes --  
2 largest maxMds in the entire set.

3 I think there are multiple scenarios to  
4 drive maxMd. It captures different scenarios from  
5 multiple hormones with borderline responses, to then  
6 get an effect that's actually meaningful, or just a  
7 subset of hormones that are driving it or maybe only  
8 one or two.

9 **DR. REBECCA CLEWELL:** One last time and  
10 then I promise I'm done. I don't want to harp on it,  
11 but I don't understand how mifepristone could have a  
12 high score if it only had two hormones, because then  
13 it would have not been tested in a dose response way,  
14 because there was a cutoff of three.

15 **DR. KATIE PAUL FRIEDMAN:** That wasn't  
16 the only criteria that we used for selection of  
17 chemicals. We also selected reference chemicals. We  
18 selected chemical classes of interest. We selected  
19 some chemicals that didn't meet that three or four  
20 steroid hormone criteria. There are multiple reasons  
21 that a chemical would be tested in concentration  
22 response and mifepristone was one of them.

1                   **DR. REBECCA CLEWELL:** I would take that  
2 as an argument against that cutoff then, right? Okay.  
3 Thank you.

4                   **DR. KATIE PAUL FRIEDMAN:** I guess just  
5 to respond to your final comment, I would maybe  
6 separate the idea of how you implement screening in an  
7 environment with limited resource versus program  
8 directed screening. So, those are two different  
9 questions.

10                   The implementation used here I think  
11 was one way to do it, to maximize resource efficiency,  
12 but clearly not the only way. And if you had a list  
13 of chemicals that you knew a priori were of interest  
14 for various reasons, whether it was exposure or some  
15 other bioactivity, of course you would implement it  
16 differently.

17                   **DR. KRISTI PULLEN FEDINICK:** That's a  
18 great segue, actually, to the question I had. I  
19 wanted to be able to try to see how to contextualize  
20 these numbers.

21                   You mentioned phthalates and I looked  
22 at the phthalates, which I thought was actually an  
23 interesting class of chemicals to include in here.  
24 The maxmMd ranged from about -.25 to 1.1, for

1 chemicals that were analyzed in a recent National  
2 Academy of Sciences study on the application of  
3 systematic review for low dose hormone effects.

4 For DBP, so dibutyl phthalate, the  
5 maxmMd was 0.033. This is one that the NAS panel, the  
6 consensus panel, found had evidence of impacting  
7 steroidogenesis, or at least an endpoint that could be  
8 related to steroidogenesis. How do we contextualize a  
9 0.033? That seems like it would be on the low end and  
10 we might cut that out.

11 **DR. KATIE PAUL FRIEDMAN:** I think  
12 depending on what number you're looking at, if it's  
13 the adjusted maxmMd, if it's greater than zero it just  
14 means that it exceeds the sample noise around  
15 baseline. It would be a pathway positive, but barely.  
16 If it's not adjusted, then it would possibly be  
17 negative. Adjusted simply means that we've subtracted  
18 the critical limit from the value. That must be  
19 adjusted that you're looking at.

20 **DR. KRISTI PULLEN FEDINICK:** It was the  
21 adjusted. Then that, say, DBP, would be marginally --  
22 the phthalates essentially don't really work in this  
23 model?

24 **DR. KATIE PAUL FRIEDMAN:** Pretty weak.

1                   **DR. REBECCA CLEWELL:** I'm sorry, I have  
2 to comment on that because I've done so much work with  
3 the phthalates over the years. The thing about the  
4 phthalates is, first of all, the active form is the  
5 monoester. So you wouldn't expect the diester to be  
6 positive in these assays.

7                   The other point, which the NAS has been  
8 batting around and has been a big topic, is that there  
9 is actually a certain amount of evidence that the  
10 phthalates are not human relevant.

11                   They are definitely steroidogenesis  
12 inhibitors in the rodent, but there is actually like  
13 xenograft models, so I wouldn't -- I'm not saying that  
14 there couldn't possibly be some effects here, but I  
15 don't think it's strange that they didn't see it with  
16 DBP.

17                   **DR. JAMES MCMANAMAN:** These are  
18 supposed to be questions for clarification.

19                   **DR. REBECCA CLEWELL:** I'm so sorry.  
20 I'll drop it.

21                   **DR. KATIE PAUL FRIEDMAN:** That was very  
22 helpful. Thank you.

23                   **DR. GRANT WELLER:** One quick  
24 clarification question. So, when you're estimating

1 this covariance matrix you describe covariance of the  
2 residuals. That's basically adjustment for different  
3 chemicals in different concentrations. Is that right?  
4 You're subtracting out predicted for those?

5 **DR. KATIE PAUL FRIEDMAN:** It's  
6 performed at each concentration.

7 **DR. GRANT WELLER:** My other question is  
8 on the Mahalanobis distance. There are certainly a  
9 number of different multivariate distance metrics, but  
10 Mahalanobis is really convenient in a number of ways  
11 when your sort of underlying data are normally  
12 distributed. Can you comment at all on any  
13 investigation of that, just empirically?

14 **DR. KATIE PAUL FRIEDMAN:** Do you want  
15 to talk about that, Woody?

16 **DR. WOODROW SETZER:** Not really. Yes,  
17 you're right. The form of the Mahalanobis distance,  
18 it sort of comes from multivariate normal  
19 distribution. Obviously, once you've got the form,  
20 you can use for it any distribution. You asked if  
21 we've explored the sort of multivariate normality of  
22 residual error. Not super extensively. I can tell  
23 you that it's sort of a crude look at residual plots  
24 and so forth, they look sort of vaguely univariately

1 normal anyway, one at a time. We've done no looks to  
2 check for multivariate normality at all.

3 **DR. JAMES MCMANAMAN:** Thank you. I  
4 think we can move on.

5 **DR. KATIE PAUL FRIEDMAN:** Great. I'll  
6 skip ahead. I'm coming up to the end, so hang in  
7 there with me.

8 Just to summarize what we've been  
9 through in the two parts of this talk. The  
10 high-throughput H295R screening assay was evaluated  
11 versus the OECD inter-laboratory validation  
12 low-throughput assay. We analyzed all of the  
13 high-throughput data using very similar ANOVA analysis  
14 and logic to what is in the OECD test guideline to  
15 enable that comparison and have it be more direct.  
16 That's summarized in the confusion matrices.

17 We've also performed a novel  
18 integration of the 11 steroid hormone analytes for a  
19 pathway level analysis using these high-throughput  
20 screening data. To summarize that, we've computed a  
21 mean Mahalanobis distance for each chemical  
22 concentration and screen. And then from that set of  
23 mean Mahalanobis distances for each chemical, we've

1 selected a maximum as a potential useful  
2 prioritization metric.

3           Regarding evaluation of the  
4 high-throughput screening assay and its performance,  
5 we had fairly good concordance of results with  
6 accuracies that range from 0.75 to 0.91 for effects on  
7 estradiol and testosterone. This is in contrast to  
8 agreement among the labs themselves in the inter-  
9 laboratory validation, which generally approached 90  
10 percent. Minor disagreement between the high-  
11 throughput and low-throughput results occurred for  
12 chemicals typically that had perhaps borderline  
13 activity, or activity at really high concentrations.

14           A good example of this is  
15 2,4-dinitrophenol which, in the OECD laboratory  
16 validation had some effects, but the actual potency of  
17 that chemical in their study ranged five orders of  
18 magnitude. Very large, from 0.001 to 100 micromolar  
19 for the lowest effect concentration. And so for that  
20 chemical, we only screened it at an MTC of 10  
21 micromolar and found nothing.

22           You can see whenever you're doing  
23 screening, it is bound to be different. But these  
24 borderline activity or activity at really high

1 concentrations or really variable range, might be  
2 places where we have disagreement more often.

3 We would contend that the maxmMd might  
4 be useful for prioritization and weight of evidence  
5 applications. Calculation of the set of the mean  
6 Mahalanobis distance values reduced that  
7 11-dimensional question to a single dimension. And  
8 the maxmMd appeared to provide a reproducible and  
9 quantitative approximation of the magnitude of effect  
10 on steroidogenesis within the H295R cell model. It  
11 quantitatively distinguished weak, moderate, and  
12 negative chemicals in this particular model.

13 Given mean Mahalanobis distance at each  
14 concentration, you could actually model, as I  
15 mentioned previously, the mean Mahalanobis distance at  
16 the critical limit. So, that threshold or lowest  
17 effect concentration could be modeled as a mean  
18 Mahalanobis distance.

19 That value, you could envision using as  
20 a concentration to review effects on specific  
21 hormones, as we discussed. So, if you were really  
22 interested in what hormones were affected by a  
23 chemical, you might select that more lowest effect  
24 concentration based on mean Mahalanobis distance and

1 look across and see what happened. It might be useful  
2 in that way, in a weight of evidence application.

3 Of course, limitations. And there are  
4 a lot, but specific to this I would say there are  
5 fewer reference chemical information available here  
6 than for other parts of the Endocrine Disruptor  
7 Screening Program. As soon as you move away from  
8 estrogen, it's a very steep hill down to having full  
9 reference chemical set information. In particular, in  
10 this case, information on corticosteroids and  
11 progestogens is typically lacking. Although there is  
12 information in the literature, and that could be  
13 scraped.

14 The potentially limited metabolic  
15 capacity of this assay. So, fortunately, H295R  
16 actually do express some xenobiotic metabolizing  
17 enzymes; but of course, they may not generate all of  
18 the relevant chemical metabolites, and that would have  
19 to be studied in greater depth. But, of course, as  
20 Richard Judson mentioned, there are efforts within EPA  
21 to retrofit some of our high-throughput screening  
22 assays, and also to predict metabolites that might be  
23 of concern. There is ongoing work to try to address  
24 that limitation.

1           The other limitation already mentioned  
2 by Dr. Judson in discussion with all of you, is the  
3 restriction to DMSO soluble chemicals. And of course,  
4 the Center is working to expand that to water soluble  
5 chemicals.

6           Finally, I want to acknowledge that  
7 with all the work that we do in the Center, there is  
8 usually a team and this was the team that worked on  
9 this. Derik Haggard, who is a postdoc in our group;  
10 Woody Setzer, who has joined me at the table; Richard  
11 Judson, and Matt Martin, and Agnes Karmaus, who have  
12 moved onto other endeavors, but contributed to this  
13 work. So, thank you.

14           **DR. JAMES MCMANAMAN:** Thank you. Any  
15 questions for this last part of her presentation? All  
16 right. Well then, I think we should take a break.  
17 Maybe 15 minutes. Be back here at what, ten after?

18           **[BREAK]**

19           **DR. JAMES MCMANAMAN:** Break time has  
20 come to a close. If there are issues related to the  
21 discussion that need to be read into the minutes  
22 during the break, please remember to do so.

23           Okay, Dr. Bever.

1                   **DR. RONNIE JOE BEVER:** Hello, again. I  
2 will be presenting the high-throughput H295R assay  
3 discussion. And again, this is going to be structured  
4 similarly to my presentation after the AR discussion.  
5 I thank Dr. Paul Friedman because she has thoroughly  
6 described the high-throughput H295R assay and the use  
7 of the maximum mean Mahalanobis distance.

8                   Once again, what I'll be doing --

9                   **DR. JAMES MCMANAMAN:** Dr. Bever, could  
10 you put the microphone a little bit closer? Yes.  
11 They're just not picking up the way they should.

12                   **DR. RONNIE JOE BEVER:** Okay. What I'll  
13 be doing is basically presenting how we're going to  
14 use this assay and why we believe it's ready for that  
15 use.

16                   Let me specify right here the type of  
17 the high-throughput H295R assay we will be using. As  
18 a program, the Endocrine Disruptor Screening Program,  
19 we will ask for concentration response data. Dr. Paul  
20 Friedman has already shown you how this  
21 high-throughput H295R assay is, for the most part, an  
22 upscaling of the low-throughput H295R assay. As such,  
23 we feel like -- we'll be using it as an alternative.

1 We'll also be using it to prioritize chemicals for the  
2 EDSP testing.

3 Now, once again, I'll be reading some  
4 of these charge questions, but that's not for the  
5 purpose of really deliberating a charge question  
6 today. It's basically to present you with our point  
7 of view.

8 The first charge question says, can we  
9 use this high-throughput H295R assay as an alternative  
10 for the low-throughput H295R assay when we are  
11 measuring only testosterone and estradiol levels?

12 Now, I'm going to discuss the  
13 validation principles which kind of wraps up both --  
14 two of the charge questions. First of all, the  
15 relevance. And once again, this maintains the  
16 mechanistic and biological relevance of the original.  
17 We're still measuring estradiol and testosterone, even  
18 when we're measuring nine other hormones in the  
19 pathway model.

20 The fit for purpose, I put up here 75  
21 to 91 percent agreement; however, if you used the  
22 revised accuracy measures where the two problem  
23 chemicals are removed, you're getting 80 to 95 percent  
24 accuracy. It's important to remember that the

1 concordance in the OECD testing among the labs with  
2 the low-throughput assay, was 90 percent. We feel  
3 like this fit for purpose is a pretty grand score  
4 here.

5 Furthermore, using the pathway model,  
6 it helps to eliminate equivocal and discordant  
7 results. For instance, six potential false negatives  
8 were correctly identified with the pathway model.

9 Reliability. One-hundred-seven  
10 chemicals were screened in more than one screening  
11 block. Eighty-eight percent of these chemicals  
12 produced the same answer across block when using the  
13 maximum mean Mahalanobis distance. We say that this  
14 is a very good reliability. Dr. Paul Friedman also  
15 showed you the Z-primes, the Z-factor scores. They  
16 were typically excellent, showing that these assays  
17 are good and that the variability is acceptable.

18 Transparency. Once again, we tried to  
19 provide all the data necessary for people to  
20 understand the methods, as well as understanding the  
21 statistics that we performed to be able to reproduce  
22 those results.

23 Our second charge question with the  
24 steroidogenesis basically asks if the high-throughput

1 H295R assay measuring 11 hormones can serve as an  
2 alternative to the low-throughput H295R assay.

3           There are some advantages to measuring  
4 11 hormones, and I think that Dr. Paul Friedman has  
5 really already highlighted these. But just to  
6 reiterate, when you're measuring 11 hormones it can be  
7 much more informative and robust than when you're  
8 measuring two hormones. The Venn diagram supports  
9 that actually more than estrogens and androgens are  
10 being affected.

11           It's useful for rapid prioritization  
12 using this maximum mean Mahalanobis distance. A  
13 pathway approach allows greater sensitivity. Once  
14 again, some of the potentially false negatives were  
15 correctly identified using the pathway model.

16           We are hopeful that this more  
17 informative and robust pathway model could be useful  
18 in identifying modes of action; but, as Dr. Paul  
19 Friedman said, this can be complex.

20           The last charge question, basically the  
21 last sentence here is asking for the comment on the  
22 strengths and limitations of the maximum mean  
23 Mahalanobis distance and the pattern of steroid  
24 hormone responses in the high-throughput H295R assay

1 for chemical prioritization and weight of evidence  
2 applications.

3 Here we feel that the maximum mean  
4 Mahalanobis distance is a very useful metric in this  
5 assay. It takes into account magnitude of effect.  
6 Basically, the maximum mean Mahalanobis distance  
7 provides a single numeric value to characterize the  
8 magnitude of effect on synthesis of 11 steroid  
9 hormones for a given chemical. It provides a  
10 quantitative answer. It's more than just a simple yes  
11 or no on an induction of a particular hormone. And  
12 this will allow prioritization.

13 In conclusion, we feel like the basic  
14 validation principles have been met. The Agency feels  
15 that measuring multiple hormones confers some  
16 advantages. And we contend that the maximum  
17 Mahalanobis distance is a useful statistical metric  
18 for this assay.

19 That's all I have. Thank you.

20 **DR. JAMES MCMANAMAN:** Questions for Dr.  
21 Bever? Yes?

22 **DR. KRISTI PULLEN FEDINICK:** You're  
23 using the word in here, "prioritization," especially  
24 for question four. Are you talking about using it for

1 prioritization before going into the Tier 1 tests?

2 **DR. RONNIE JOE BEVER:** Yes.

3 **DR. KRISTI PULLEN FEDINICK:** And then  
4 the separate question then in the prior two questions  
5 is whether or not it could replace a Tier 1 screen,  
6 right? For this question it's saying, can we use  
7 this, just overall, to say whether or not these  
8 chemicals should then go through any of the Tier 1  
9 screens? And then go into Tier 2 if the evidence  
10 suggests such. Or are you saying -- can you clarify a  
11 little bit more about the word "prioritization" here?

12 **DR. RONNIE JOE BEVER:** What we're  
13 talking about, prioritization, is using all the  
14 high-throughput assays that we can -- basically what  
15 we're looking for is endocrine bioactivity  
16 information. If you remember the EDSTAC slide I  
17 showed at first, the very first block is, what do we  
18 know about the chemical. And if we know about its  
19 bioactivity, as well as exposure, we can make  
20 judicious choices on which chemicals to evaluate  
21 first.

22 The high-throughput assays have already  
23 been run on a few thousand chemicals. As for an  
24 example, the H295R assay, that's providing us with

1 endocrine bioactivity. We're going to use that in the  
2 prioritization stage.

3 **DR. KRISTI PULLEN FEDINICK:** I'm just  
4 going to clarify that again. I'm looking at the ESTAC  
5 conceptual framework now. This was your slide nine, I  
6 don't know if you should go all the way back to that,  
7 but -- so prioritization is something that would  
8 happen before the Tier 1 screen?

9 **DR. RONNIE JOE BEVER:** Yes.

10 **DR. KRISTI PULLEN FEDINICK:** For charge  
11 question 4, or the last one we looked at, it says,  
12 "using this high-throughput screen to prioritize  
13 chemicals." So, is what the Agency asking to say, can  
14 we use this high-throughput H295R assay to set the  
15 priorities before we would even send them through the  
16 AR assay, the ER assay, all of these other assays?  
17 You're going to use this one assay to prioritize and  
18 then move into the screen?

19 **DR. RONNIE JOE BEVER:** It's not one  
20 assay. We consider all the bioactivity information  
21 that we have. It's kind of like a screening risk  
22 assessment, so to speak. It's not a true risk  
23 assessment. It's not a weight of evidence thing in  
24 that we don't have complete information. It's using

1 the information we have to make good selection of the  
2 chemicals that we should test first.

3 We're not just going to use an H295R  
4 assay during prioritization. We're going to use H295R  
5 assay, ER pathway model, AR pathway model, or whatever  
6 other source of data that can provide us information  
7 on endocrine bioactivity. That's just one part. We  
8 want to look at exposure also.

9 **DR. SEEMA SCHAPPELLE:** Also to answer  
10 the second portion of your question, yes, this would  
11 be data that would be available as an alternative to  
12 the Tier 1 screen.

13 **DR. KRISTI PULLEN FEDINICK:** So, it's  
14 for both prioritization and for screening?

15 **DR. RONNIE JOE BEVER:** Yes.

16 **DR. SEEMA SCHAPPELLE:** Availability and  
17 alternative, yes.

18 **DR. RONNIE JOE BEVER:** That was the  
19 first slide of my mini presentation was, we're wanting  
20 to use it for two things. We want to use it for an  
21 alternative for the low-throughput H295R assay, and we  
22 want to use it in prioritization.

23 **DR. JAMES MCMANAMAN:** If there are no  
24 further questions, I think we can move on.

1 DR. RONNIE JOE BEVER: Thank you.

2 DR. JAMES MCMANAMAN: The next  
3 presenter is Dr. Scott Lynn.

4 DR. SCOTT LYNN: I am Scott Lynn. I'll  
5 be here to present to you the slideshow on Thyroid  
6 Conceptual Framework. I work in the Office of Science  
7 Coordination and Policy in the EACPD division. I've  
8 been sick, so I apologize now if I cough or if I come  
9 off as somewhat groggy as I'm presenting this.

10 This is an overview of the slideshow  
11 that I'll be presenting, and it mirrors very much what  
12 you have seen in the white paper. Section 4.1 of the  
13 white paper was really a very brief overview of the  
14 thyroid pathways. Section 4.2 presented the 15 MIEs  
15 identified by the EPA for thyroid based AOPs. Then  
16 put those AOPs into an AOP network for thyroid  
17 bioactivity. Section 4.3 had the current EDSP thyroid  
18 related endpoints, the Tier 1 and Tier 2. Also, the  
19 high-throughput assay status and then a prioritization  
20 ranking for those. And a thyroid framework coverage.  
21 Section 4.4 was a next steps and challenges. We have  
22 two charge questions that relate to Section 4.2 and  
23 4.3 and those would be the middle sections of the  
24 presentation.

1 Thyroid hormones generally are  
2 considered to be iodothyronine T3 or  
3 tetra-iodothyronine or thyroxine, T4. The major  
4 circulating form is T4 and this is generally an 80 to  
5 20 ratio in human adults. T4 is considered to be the  
6 prohormone, and T3 is the active hormone. In target  
7 tissues, the T4 is deiodinized to T3 where it is  
8 activated and binds to a receptor to initiate  
9 transcriptional pathways.

10 Thyroid hormone is conserved across all  
11 vertebrate species. Thyroid hormone in humans is the  
12 same as thyroid hormone in frogs or lower vertebrates  
13 or fish.

14 There are a number of roles of thyroid  
15 hormones. I'm going to touch upon a few. They  
16 regulate diverse processes. In adults, they control  
17 metabolic rate and thermogenesis. In fetus, newborn,  
18 and children, they can mediate many aspects of somatic  
19 growth and development. They are especially critical  
20 for nervous system development, neurogenesis,  
21 migrations, synaptogenesis, myelination of axons and  
22 the shifting of cells from a proliferation to a  
23 differentiation.

24 Thyroid hormone receptors are present

1 in a number of different tissues: pulmonary tissues,  
2 cardiac tissues, and obviously neuronal tissues where  
3 they play a crucial role. I'm going to briefly go  
4 through the thyroid pathway overview here, and then  
5 I'll get into more detail as I describe to you the 15  
6 MIEs that we're presenting.

7 The thyroid axis generally is  
8 considered to begin in the hypothalamus with the  
9 synthesis in the secretion of thyrotropin releasing  
10 hormone. This travels to the pituitary where it  
11 stimulates the secretion and synthesis of thyroid  
12 stimulating hormone. These would be receptor based  
13 targets and what I'm going to do is, as I go through  
14 this, I'm going to show you -- we classified the 15  
15 MIEs into four different processes. They were sort of  
16 binned into these processes just to make it easy to  
17 conceptualize it. I'm going to outline those four  
18 processes as I go through this.

19 Thyroid stimulating hormones stimulate  
20 synthesis and secretion of thyroid hormone, or T4,  
21 from the thyroid. There are a number of MIEs  
22 associated with this, and this is considered to be  
23 thyroid hormone biosynthesis.

24 Thyroid hormone is then released into

1 the bloodstream where it travels through circulation.  
2 There are thyroid hormone binding proteins in the  
3 serum and then there are also thyroid transporters on  
4 tissues. These have been binned into the thyroid  
5 hormone transporter category.

6 Then there is peripheral tissue  
7 metabolism. These are going to be associated with  
8 hepatic nuclear receptors in the liver or deiodination  
9 or sulfation or glucuronidation. So, those are all  
10 binned into the peripheral tissue metabolism category.

11 Finally, the effects of thyroid hormone  
12 are manifested through binding and activation of the  
13 thyroid hormone receptor and the target tissues. And  
14 then transcription of genes. Those, we've put into  
15 the receptor based targets also.

16 That's a brief overview of the thyroid  
17 pathway. I'm going to move on now to Section 4.2  
18 where I describe the 15 MIEs and the AOP network for  
19 thyroid bioactivity.

20 The first process or bin of MIEs are  
21 the thyroid hormone biosynthesis in the thyroid. This  
22 begins with the sodium iodide symporter, which is a  
23 transmembrane glycoprotein which regulates iodide  
24 uptake into the thyroid follicular cells and is the

1 first step in thyroid synthesis.

2 Next would be the thyroperoxidase,  
3 which is an enzyme secreted into the thyroid colloid.  
4 And this oxidizes iodide ions for addition onto the  
5 thyroglobulin which catalyzes the formation of thyroid  
6 hormone.

7 Next is pendrin, and this is an anion  
8 exchange protein that mediates the efflux of iodide  
9 across the apical membrane of the thyrocyte.

10 Next is dual oxidase, which is an  
11 enzyme exposed to the colloid and this co-localizes  
12 with the thyroperoxidase and generates the peroxide  
13 necessary for thyroid hormone synthesis.

14 Lastly is the iodotyrosine deiodinase,  
15 which is a deiodinase enzyme in the apical plasma  
16 membrane of the colloid. This catalyzes the  
17 deiodination of the iodinated tyrosines which recycle  
18 the iodide within the thyroid.

19 The next processes or MIEs that are --  
20 processes, are the thyroid hormone transporters.  
21 There are two categories here. There are the serum  
22 thyroid hormone binding proteins. There are three  
23 serum proteins: thyroxine-binding globulin,  
24 transthyretin, and albumin. These are responsible for

1 binding and transporting the thyroid hormones through  
2 the circulatory system to the target tissues.

3 The next set are the thyroid hormone  
4 membrane transporters. These are solute carrier gene  
5 family proteins. They transport thyroid hormone  
6 across plasma membranes. And these include the  
7 monocarboxylate transporters, MCT 8 and 10, and also  
8 the organic anion-transporting protein, or OATP1C1 in  
9 particular.

10 The next set of MIEs are under the  
11 thyroid hormone peripheral tissue metabolism bin or  
12 process. These include the iodothyronine deiodinase.  
13 These are the enzymes that deiodinate the T4 to T3 and  
14 activate it, or they inactivate the T4. There are  
15 three types. There is deiodinase 1, 2 and 3, and  
16 these function in a tissue specific and temporal  
17 manner. They modulate thyroid hormone homeostasis in  
18 terms of tissue responses.

19 There are also hepatic nuclear  
20 receptors and these mediate phase one, two, and three  
21 metabolism. And ultimately, the disposition of  
22 endogenous and exogenous chemicals. These contribute  
23 to thyroid hormone homeostasis. Two important ones  
24 are going to be CAR and PXR.

1                   Next is sulfation and glucuronidation.  
2                   These are important hepatic and nephritic pathways  
3                   that regulate the thyroid hormone catabolism.

4                   Finally, alanine side chain. There are  
5                   alanine side chains of T4 and T3 that can be  
6                   metabolized by oxidative decarboxylation or  
7                   deamination.

8                   Moving onto the last bin of MIEs.  
9                   These were put into the process of receptor-based  
10                  targets. TRH receptors are gene protein-coupled  
11                  receptors. There is TRHR1, TRHR2, which are primarily  
12                  in the pituitary. They control the synthesis and the  
13                  release of the thyroid stimulating hormones, as I  
14                  said.

15                  Thyroid stimulating hormone receptors  
16                  are also GPCR primarily on the thyroid epithelial  
17                  cells, and they control the production of thyroid  
18                  hormones.

19                  Thyroid hormone receptors, the nuclear  
20                  receptors. They are activated by T3, the active form  
21                  of thyroid hormone. These initiate regulation of gene  
22                  expression in a wide variety of cell types, and there  
23                  are thyroid hormone alpha, with one and two subtypes;  
24                  and beta, with one and two subtypes. These show a

1 tissue specific and a temporal function in terms of  
2 life stage.

3 Then there is thyroid hormone  
4 transcription. Many thyroid hormone signaling  
5 pathways are mediated by transcription of the thyroid  
6 hormone receptor responsive genes, and these are  
7 critical for normal development and organ system  
8 functioning.

9 Heterodimerization with retinoid X  
10 receptor is necessary for transcription, and there are  
11 also a number of cofactors and coregulators that  
12 influence thyroid hormone transcription.

13 Now I want to introduce adverse outcome  
14 pathways. The adverse outcome pathway is a concept  
15 that's being utilized within the US EPA as a framework  
16 for organizing knowledge. Not only within the US EPA.  
17 I also need to point out, as I will later, that this  
18 is an international effort in terms of utilizing  
19 adverse outcome pathways. It provides a framework for  
20 organizing the knowledge about the progression of  
21 toxicity events across scales or a biological  
22 organization or hierarchical levels across biological  
23 organization that lead to adverse outcomes, either at  
24 the organism level or at the population level. These

1 adverse outcomes are the endpoints that are relevant  
2 for risk assessment.

3 The adverse outcome pathway approach  
4 begins with information about toxicant. And these are  
5 physical chemical properties of the toxicant, in terms  
6 of chemical category or profiles of the chemical.

7 Then from the biological perspective,  
8 it begins with the molecular initiating event, or MIE.  
9 This is where the chemical initially interacts with a  
10 protein or DNA. A protein could be a receptor, it  
11 could be an enzyme, it could be a transporter. There  
12 could be any number of key events along an adverse  
13 outcome pathway. These can be cellular responses or  
14 organ responses.

15 Some examples here are gene activation,  
16 protein production; secondary messenger changes in  
17 cells is another possibility. Organ responses could  
18 be altered function of an organ or altered development  
19 of an organ. Ultimately, there are adverse outcomes  
20 at the organismal level. These could be mortality or  
21 lethality.

22 One of the things that our program  
23 would be particularly interested in would be  
24 reproduction, obviously. Then population level, you

1 can have changes in population in structure. Or  
2 extinction of species could also be an adverse  
3 outcome.

4 This is the approach, the framework  
5 that the EPA is utilizing for a number of efforts.  
6 This is the approach that we have taken in terms of  
7 presenting the MIEs and thyroid conceptual framework  
8 to this panel.

9 What I'm showing you here is an example  
10 of an adverse outcome pathway. This one is  
11 thyroperoxidase inhibition. This is published in the  
12 OECD AOP Wiki. It is, as of right now, the only  
13 thyroid related AOP in the AOP Wiki. It is AOP number  
14 42.

15 It begins with the methimazole and also  
16 propylthiouracil, are the two chemicals listed for the  
17 toxicant. Obviously, there could be a number of  
18 chemicals that would initiate this adverse outcome  
19 pathway.

20 These are known to decrease the  
21 activity of thyroperoxidase, which ultimately ends in  
22 a reduction in circulating serum thyroid hormone  
23 levels. This causes alteration in brain development,  
24 and the adverse outcomes are going to be

1 neurodevelopmental deficits, such as hearing loss,  
2 cognitive defects, neuroanatomy defects, synaptic  
3 dysfunction, or neuroplasticity defects.

4 I'm going to take some time to walk you  
5 through this figure. This is essentially the figure  
6 from the white paper. But what this does is this  
7 takes the 15 MIEs that have been proposed by the EPA,  
8 and it applies them to an adverse outcome pathway for  
9 a thyroid network. It's sort of linking together all  
10 of these MIEs and interrelated key events, and mapping  
11 them out to a number of different adverse outcomes.

12 The black boxes are the MIEs. These  
13 are grouped into the gray boxes. And these gray boxes  
14 generally represent the different thyroid pathway  
15 processes that I spoke about before. Receptor ligand  
16 interactions here and also up here in terms of the  
17 hypothalamic pituitary feedback. The thyroid here has  
18 MIEs that are associated with thyroid hormone  
19 biosynthesis. Down here we have thyroid hormone  
20 transport and metabolism lumped together. And then  
21 thyroid hormone catabolism and excretion here.

22 The light blue boxes are the key  
23 events, the KEs. These are ones that have been  
24 identified along the thyroid AOPs, and are presented

1 here. These are taken from the literature, in many  
2 cases, to establish these as key events associated  
3 with these adverse outcome pathways.

4 Finally, we have the dark blue boxes  
5 over here that are the adverse outcomes. It is  
6 indicated whether the evidence is manifested in  
7 mammals, amphibians, or fish. In some cases these are  
8 very specific in terms of teleost swim bladder  
9 inflation. That is going to be a specific endpoint  
10 for fish. Metamorphosis is a process that can occur  
11 in fish or in amphibians, so that is a potential for  
12 both. Up here we have rat thyroid tumors and also,  
13 neurological and cognitive impairments. And also,  
14 auditory impairments.

15 There are a couple things I want to do  
16 with this figure. The first is I want to highlight  
17 the importance of serum T4 in terms of being a key  
18 event that falls along many of the adverse outcome  
19 pathways that you can see here. But I also want to  
20 point out that not all adverse outcome pathways,  
21 beginning with the molecular initiating events that  
22 we've identified, go through this key event of serum  
23 T4 changes -- or changes in concentration of serum T4.

24 I should also point out here that the

1 aqua boxes, the hypothalamus and the pituitary are  
2 actual organs. And these are representative of  
3 circulating levels or hormone levels of TSH and TRH.

4 This is going to be the summary slide  
5 for the Section 4.2. I've identified the 15 MIEs  
6 across the different processes in the thyroid network.  
7 We've linked these MIEs to key events in adverse  
8 outcomes for putative adverse outcome pathways. And  
9 then we've summarized these into a chemically induced  
10 thyroid disruption network. We think that this is  
11 critical for us in terms of developing a thyroid  
12 conceptual framework.

13 The charge to the panel -- which I'll  
14 get deliberation on; I'm not meaning to bring this up  
15 as a question right now -- is to comment on the  
16 completeness of what we've presented here in terms of  
17 the number of MIEs, the different MIEs, are the key  
18 events comprehensive, and the adverse outcomes and  
19 just some feedback on the thyroid AOP network that  
20 we've presented.

21 Now I'm going to move onto Section 4.3  
22 where I discuss the screening and assay status.  
23 First, I'll walk through the Tier 1 and Tier 2 thyroid  
24 related endpoints within the Tier 1 and Tier 2

1 battery. Then I'll present the high-throughput assay  
2 status and our prioritization ranking. And then,  
3 finally, the thyroid framework coverage that is  
4 present within the EDSP right now.

5 This is a slide very similar to one  
6 that was shown, I believe, by Dr. Schappelle earlier  
7 at the very beginning. Or maybe it was Dr. Bever. I  
8 don't remember. Sorry. This is the EDSP assays and  
9 the status of alternatives. As was mentioned before,  
10 in December of 2014 there were alternatives that were  
11 accepted for the ER model in terms of estrogen  
12 receptor, ER binding, ER transactivation, and  
13 uterotrophic.

14 At this meeting now, there are  
15 alternatives that are being presented for the AR  
16 binding and also for a high-throughput steroidogenesis  
17 assay. But what I want to draw your attention to are  
18 the thyroid related endpoints, or the assays that have  
19 thyroid related endpoints.

20 I've highlighted these in red for you.  
21 Within the Tier 1 battery, we have three assays: the  
22 amphibian metamorphosis assay, the female rat pubertal  
23 assay, the male rat pubertal assay. And then, for the  
24 Tier 2 tests, which are longer term life cycle or

1 multi-generation tests that are useful or utilized  
2 within a risk assessment framework, we have the avian  
3 multi-generation reproduction test, the larval  
4 amphibian growth and development assay, or the LAGDA  
5 as it's termed, or the rat assays -- this would be a  
6 two gen for a rat, or an extended one generation  
7 reproduction assay -- reproduction test or the EOGRT.

8           What I want to do is I want to briefly  
9 walk through those and describe the endpoints that are  
10 thyroid related or thyroid specific endpoints, but  
11 also mention potential thyroid related endpoints  
12 within each of these assays.

13           This is a table taken from the white  
14 paper. These are the Tier 1 test guidelines. The  
15 amphibian metamorphosis and the male and female  
16 pubertal assays. It shows the species. For the  
17 amphibian it's xenopus laevis. And then rat for the  
18 pubertal assays.

19           Here are thyroid specific endpoints.  
20 For the amphibian assay, we have endpoints such as  
21 hind limb length, developmental stage, and  
22 asynchronous development. They are really endpoints  
23 that are at the organismal level and could be  
24 indicative of potential population effects, too.

1           Then there is thyroid histopathology,  
2           and there are a number of endpoints within the  
3           histology that is performed. And, of course, there  
4           are thyroid related endpoints that would be tied to  
5           growth, body weight, or snout and vent length.

6           For the pubertal assays, we have organ  
7           weight, thyroid weight, and also measurements of serum  
8           concentrations of T4 and TSH. Then thyroid histology  
9           endpoints. And this is the same for both of the  
10          pubertal assays. Again, thyroid related endpoints are  
11          going to be tied to growth, body weight.

12          For the Tier 2 test guidelines, we have  
13          the avian two-generation toxicity test which was  
14          performed in Japanese quail. The thyroid specific  
15          measures are going to be thyroid size or thyroid  
16          weight. And circulating T4 concentration, along with  
17          T4 concentration within the thyroid. And egg yolk T4.  
18          Then thyroid histology. There are a number of  
19          endpoints there. Again, thyroid related measures are  
20          going to be growth, body weight.

21          The LAGDA is also with xenopus. It is  
22          a longer assay than the AMA, but the endpoints that  
23          are thyroid specific are very similar. Essentially,  
24          for the most part the same. Developmental stage,

1 asynchronous development, the time to NF Stage 62 --  
2 which is a metamorphosis -- and then also, thyroid  
3 histology endpoints.

4 Finally, we have the EOGRT, where again  
5 organ weight, circulating concentrations of T4 and  
6 TSH, and a full thyroid histology list of endpoints.  
7 For this one there are optional thyroid related  
8 measures that would be neurohistopathology,  
9 neurobehavioral tests could be also measured or  
10 performed, and brain weight is also an endpoint that  
11 could be thyroid related.

12 What I have here is sort of an  
13 overview. I want to walk you through this. Across  
14 the top we have different types of studies. Here we  
15 have in silico and in vitro studies. This would be  
16 chemical categories, quantitative structure, activity  
17 relationships, or in vitro data that would be  
18 associated with high-throughput assays.

19 Here we have the three Tier 1 assays I  
20 just mentioned. The two pubertals, the AMA. Here we  
21 have the Tier 2 tests, EOGRT, LAGDA, and quail. Along  
22 the left we have endpoints that can be or are measured  
23 in each of these different tests. These endpoints  
24 range on the AOP -- and this is on the right -- we're

1 indicating where these endpoints would fall on an  
2 adverse outcome pathway framework.

3           What we see is that we have  
4 biochemistry in terms of measuring T4 and TSH and  
5 circulatory. This is going to be a cellular response.  
6 Thyroid weight is going to be an organ response.  
7 Histopathology, also an organ response. Changes in  
8 terms of metamorphosis would be an organism adverse  
9 outcome. Then ultimately, development could be tied  
10 to population level effects.

11           What I want to point out is that I've  
12 highlighted this MIE line -- row -- here for the  
13 molecular interactions. And what we see is the  
14 present Tier 1 and Tier 2 battery does not have any  
15 assays or any endpoints that specifically interrogate  
16 the molecular initiating event of a chemical on the  
17 organism. This is where high-throughput in vitro  
18 assays are very useful, and I would almost say  
19 imperative for us to get that data on MIE interaction,  
20 allowing us to map a full complete adverse outcome  
21 pathway for certain chemicals.

22           Now what I want to do is I'm going to  
23 walk through what was presented in the white paper in  
24 terms of high-throughput assay status. There were

1 four categories. The first one was existing. For  
2 this category there were one or more high-throughput  
3 assays that existed in ToxCast or Tox21. For these we  
4 had the thyroperoxidase, which falls under  
5 biosynthesis. There are two cell-free enzyme  
6 inhibition assays within the ToxCast/Tox21 platform.

7 For the metabolism, the hepatic nuclear  
8 receptors. There were multiple assays available, but  
9 I wanted to make note of the constitutive androstane  
10 receptor, CAR, and the pregnane X receptor, PXR.

11 Other MIEs for which they were given an  
12 existing classification under the receptor-based  
13 targets. The thyrotropin releasing hormone receptor.  
14 There is a GPCR cell-free receptor binding assay. TSH  
15 receptor. There is an agonist and an antagonist  
16 cell-based receptor reporter assay. These assays,  
17 I'll be showing the list of them, but they're also in  
18 the white paper and information on them can be  
19 downloaded by following the reference for the US EPA  
20 2015 reference link.

21 Thyroid hormone receptor. There is one  
22 cell-free receptor binding assay. And then for  
23 thyroid hormone transcription, there are four  
24 cell-based thyroid hormone receptor transcriptional

1 reporter assays that cover alpha and beta. But I also  
2 want to point out that there are six cell-based  
3 retinoid X receptor for RXR alpha, beta, and gamma.  
4 And those are transcriptional reporter assays.

5 Moving on to the category, term  
6 developing. For this category there were one or more  
7 high-throughput assays that are presently being  
8 developed within the ToxCast or Tox21 platform. But  
9 the assay information of results in terms of chemical  
10 screening have not been made public yet.

11 The first one under biosynthesis is the  
12 sodium iodide transporter. This assay has been  
13 published, Hallinger, et al. The reference is in the  
14 white paper. This is a cell-based radioactive iodide  
15 uptake assay. That one, there is a follow-up  
16 publication that has done the Phase 1 ToxCast  
17 chemicals. And that publication is in review. I'll  
18 show that reference in a second.

19 Under the metabolism, there are three  
20 cell-free enzyme inhibition assays that are focused on  
21 deiodinase 1, 2 and 3. I believe there are three  
22 publications associated with that that are in  
23 different stages of development. None of them are  
24 available yet.

1                   Then we get to the two categories where  
2 there is -- the next category, I should say, is  
3 promising. For this one, we were able to find and  
4 identify high-throughput assays in the peer-reviewed  
5 literature. These assays have potential. They are  
6 either high-throughput or are amenable to  
7 high-throughput, but these haven't yet been  
8 incorporated or developed into a ToxCast or Tox21  
9 assay. There are a number of steps associated with  
10 that, so none of these assays have gone through that.

11                   There are two MIEs for which this is  
12 the case. They are both in the thyroid hormone  
13 transporter bin process. The first is the serum  
14 binding proteins and this is outlined in Marchesini  
15 2006. That was a cell-free binding inhibition assay  
16 on a biosensor chip. Then there are membrane  
17 transporters. This is a very recent publication -- I  
18 believe it came out in July or August -- for cell  
19 based T3 uptake assay with iodine detection. This is  
20 Dong and Wade of 2017.

21                   The last category are the categories  
22 for which we could find no existing high-throughput  
23 assays. For the MIE, or any assays that are present  
24 that would interrogate this, would need basic research

1 and development in order to even begin to bring them  
2 into a high-throughput platform. Most of these fall  
3 within the biosynthesis: pendrin, DUOX, or the  
4 iodotyrosine deiodinase. Also, the peripheral tissue  
5 metabolism: sulfation and glucuronidation and the  
6 alanine side chain.

7           Next I want to talk about the  
8 prioritization rankings for the MIEs that were applied  
9 in the white paper. As mentioned in the white paper,  
10 these ranks were assigned based on a combination of  
11 biological relevance of the MIE in terms of the  
12 thyroid pathways, what toxicological evidence was  
13 available within the peer reviewed literature, and  
14 then also what was considered was the status that I  
15 just mentioned -- the assay status or the availability  
16 of assays in terms of their availability to be  
17 implemented into a high-throughput platform for  
18 ToxCast or Tox21.

19           The prioritization rankings for MIEs  
20 consisted of three levels. These were high, medium,  
21 and low. For the high prioritization MIEs, there were  
22 two within the biosynthesis and TPO. For the NIS,  
23 this is a developing assay as I mentioned. The Wang,  
24 et al. is in review in terms of applying the NIS assay

1 that is outlined in the Hallinger publication.

2 The thyroperoxidase is published. This  
3 is Paul 2014, Paul Friedman 2017, and the assay names  
4 are listed there. You can get details, annotated  
5 details on these assays if you follow the link to the  
6 EPA 2015 reference.

7 Then the deiodinase assays, which are  
8 in development, which I mentioned. The hepatic  
9 nuclear receptors for which assays do exist. And then  
10 sulfation and glucuronidation under the peripheral  
11 tissue metabolism.

12 The medium prioritization MIEs include  
13 those under the thyroid hormone transporters, the  
14 serum thyroid binding proteins, and the membrane  
15 transporters, which both were promising for the  
16 status, the high-throughput status. And then also,  
17 the receptor-based targets for TRH receptor, TSH  
18 receptor, and then thyroid hormone transcription.

19 One of the things that I want to point  
20 out is that there was an error in the white paper.  
21 Unfortunately, the assays for thyroid stimulating  
22 hormone receptor, in the process of putting in the  
23 links, got copied for the thyroid hormone  
24 transcription. The two assays here in red are the

1 proper assays. Those are the assays you want to look  
2 for if you go to the 2015 reference and download the  
3 Excel spreadsheet.

4 Finally, the MIEs that are ranked with  
5 a low prioritization include two within the  
6 biosynthesis, DUOX and iodotyrosine deiodinase, the  
7 peripheral tissue metabolism MIE of alanine side  
8 chain; and then lastly is thyroid hormone receptor  
9 binding, which is under receptor-based targets.

10 Now, I want to spend some time here.  
11 This is the initial conceptual framework for screening  
12 for chemicals that would have thyroid bioactivity.  
13 When you look at this figure -- this is in the white  
14 paper. On the left, we have the black boxes. These  
15 again are the MIEs. Up above is just the general  
16 overview of what we're seeing. We have chemical  
17 disposition here with parent molecule or metabolites.  
18 This would fall under the toxicant, the blue box,  
19 within the adverse outcome pathway graphic.

20 Here we have the MIEs. All the black  
21 boxes represent MIEs, which I have just presented to  
22 you. The light blue boxes, again, represent the key  
23 events. These are key events that are specific to the  
24 EDSP Tier 1 and Tier 2 assays. These are the key

1 events that are endpoints within the assays that I  
2 just presented. And then the dark blue boxes are the  
3 adverse outcomes that, likewise, are measured within  
4 the Tier 1 and Tier 2 assays.

5 The MIEs that are listed are only those  
6 MIEs which the EPA identified as medium or high  
7 priority. So, the MIEs for which we did not identify,  
8 or which were identified as being a low priority, are  
9 presently not on this framework. The orange outlines  
10 represent MIEs that are either existing or in  
11 development within the ToxCast or Tox21 framework.

12 What I want to do is I want to  
13 highlight a few points here. Again, I want to draw  
14 your attention - so, first off, this framework and the  
15 adverse outcome pathway network that was presented  
16 under Section 4.2, both of these do show feedback  
17 loops within the thyroid network or the thyroid  
18 pathways. We're considering feedback in terms of  
19 thyroid hormone effects on the hypothalamus and also  
20 on the pituitary. And also, feedback in terms of  
21 serum concentrations of T4 influencing tissue  
22 concentrations of T4 and vice versa, especially in  
23 respect to deiodinase inhibition.

24 One of the points I want to make here

1 is that serum T4 concentrations cover a number of  
2 potential adverse outcome pathways, as I mentioned  
3 before. It is the main key event within the EDSP Tier  
4 1 and Tier 2 assays. The key events though that we do  
5 have do not cover all potential adverse outcome  
6 pathways. For example, deiodinase inhibition -- which  
7 would work at the tissue level -- this is a potential  
8 key event here for which there is no endpoint within  
9 the Tier 1 or Tier 2 assays.

10 We have adverse outcomes in terms of  
11 impaired metamorphosis or thyroid histopathology  
12 endpoints, or perhaps impaired neurodevelopment in  
13 mammals; but, presently, we don't have key events that  
14 fall upon that particular adverse outcome pathway.

15 Again, I should point out these boxes  
16 represent the different compartments of the thyroid  
17 pathway here; serum plasma, the thyroid gland, and the  
18 feedback, and also liver and target tissues for the  
19 MIEs.

20 To summarize this section of the white  
21 paper, we covered the EDSP test guidelines for Tier 1  
22 and Tier 2, presented the endpoints that are within  
23 those. We overlaid those endpoints on the thyroid AOP  
24 network. We summarized the ToxCast high-throughput

1 assay status and the prioritization ranking that the  
2 EPA has presented for assay development. We have an  
3 initial framework for screening based on the thyroid  
4 AOP network.

5 The charge to the panel associated with  
6 this section is to comment on the prioritization  
7 ranking applied by the EPA for high-throughput assay  
8 development, and on the completeness of the thyroid  
9 screening framework -- the conceptual framework that  
10 we've presented to you.

11 The last section here is for Section  
12 4.4 of the white paper. This is next steps and  
13 challenges. The goal of this section was to indicate  
14 to the panel that the EPA is considering or  
15 understands that there are going to be next steps and  
16 challenges associated with those next steps, and  
17 without committing to any specific method of  
18 approaching them, has outlined a number of  
19 possibilities and just identified -- or tried to  
20 identify these challenges.

21 The first is development and refinement  
22 of additional assays. As I showed you with the  
23 thyroid conceptual framework, we do have potential  
24 putative AOPs for which we will have, potentially, a

1 molecular initiating event and an adverse outcome,  
2 without key events. There is the possibility of  
3 developing assays that could fill those gaps for key  
4 events. That's essentially what we're asking the  
5 panel to give input on this week. Also, the  
6 high-throughput assays could be developed and are  
7 being developed, hopefully, to provide better coverage  
8 of the thyroid AOP network.

9           Next is going to be identification of  
10 reference chemicals. This is true for not only new  
11 assays, but also for extant assays. As has been  
12 presented earlier, reference chemicals must span a  
13 dynamic range of potencies. They need to be specific  
14 and sensitive, and they need to cover all potential  
15 modes of action in order to give confidence in the  
16 assays and in the assay results.

17           Next is development of  
18 performance-based approaches. This is highlighted in  
19 Section 1.5, which was covered by Dr. Bever, and  
20 ultimately is based on OECD guidance document 34.

21           Then development of an integrated  
22 strategy for analysis of assay data. One of the  
23 points that I want to reiterate that was written in  
24 the white paper, is that a single assay hit in a

1 high-throughput assay should not necessarily lead to a  
2 Tier 1 test order. Hopefully, we will have a number  
3 of assays -- either high-throughput or otherwise --  
4 that will provide evidence of a potential initiation  
5 of an adverse outcome pathway to support any test  
6 orders that would go forward.

7           Compartment or other physiologically  
8 based models can and are being developed by some  
9 people within EPA, some researchers. Ultimately, we  
10 understand that we need to develop a more  
11 comprehensive framework for prioritization and  
12 screening of thyroid active chemicals.

13           That's the end. I don't have an  
14 acknowledgement slide, but I want to acknowledge  
15 people who helped generate the thyroid white paper.  
16 And that's going to be Dr. Bever, Dr. Andrea Kirk, and  
17 Dr. Katie Paul Friedman.

18           Next, I guess we just go to questions.  
19 Thank you.

20           **DR. JAMES MCMANAMAN:** Thank you very  
21 much. Thank you, Dr. Perkins for leading this. So,  
22 any questions for Dr. Lynn? Yes, Dr. Androulakis?

23           **DR. IOANNIS ANDROULAKIS:** I just had  
24 one question. I'm a dynamics guy, so whenever I hear

1 somebody talk about feedback, I get pretty excited.  
2 If the HPT axis is anything like the HPA axis, which  
3 most likely it is, this feedback that you mentioned,  
4 that you indicated, becomes very important. As you  
5 think about developing assays, the only way that you  
6 can really characterize and understand this, is if you  
7 can actually generate dynamic data.

8 When you talk about assays and things  
9 like that in this context, are you also envisioning  
10 the likelihood of generating some kind of dynamic data  
11 that will be able to give you some information  
12 regarding the importance of this feedback? Or there  
13 would still be the exposure outcome kind of --

14 **DR. SCOTT LYNN:** Can you clarify what  
15 you mean by dynamic data? I think the answer is yes.

16 **DR. IOANNIS ANDROULAKIS:** Temporal.

17 **DR. SCOTT LYNN:** Yes.

18 **DR. JAMES MCMANAMAN:** Other questions?  
19 Dr. Ehrich.

20 **DR. MARION EHRICH:** A question on --  
21 you're talking about use of therapeutic agents maybe  
22 as reference chemicals. Methimazole is an  
23 anti-thyroid drug commonly used, but you don't see all  
24 those adverse outcomes from that because you're

1 treating a disease.

2 **DR. SCOTT LYNN:** I don't see why  
3 pharmaceuticals couldn't be used as reference  
4 chemicals. Certainly, I would guess that there were  
5 some that have been used for the other models. If it  
6 fits the classification for what we would be looking  
7 for, for a reference chemical. Does that answer your  
8 question?

9 **DR. MARION EHRICH:** It was just that  
10 when you're using it therapeutically, you had this big  
11 long line and you're going to have these neurological  
12 defects by the end, and yet you don't see those when  
13 it's used therapeutically.

14 **DR. SCOTT LYNN:** Well, I think it would  
15 be a matter of potency and dose. Does that answer  
16 what you're --

17 **DR. MARION EHRICH:** Or the disease of  
18 the animal or person that's taking the drug.

19 **DR. SCOTT LYNN:** Yes. Right.

20 **DR. MARION EHRICH:** I was just  
21 wondering what your thought was on the use of  
22 therapeutic agents for a reference chemical. That's  
23 going to be a big problem I see here for your thyroid  
24 development assays.

1                   **DR. J. DAVID FURLOW:** I guess to follow  
2 up on that, in an AOP though, it seems to be --  
3 especially if it's neurodevelopmental -- AOP, right?  
4 That's the adverse outcome. When the exposure happens  
5 is built into that. That's correct, right? So, an  
6 adult taking it will have -- methimazole -- will get a  
7 goiter, right?

8                   **DR. SCOTT LYNN:** Yes.

9                   **DR. J. DAVID FURLOW:** But the motor  
10 will be fine, right? So, it's a matter -- is that  
11 always explicit in AOPs? That the critical windows,  
12 that these are all built in, they're elaborated  
13 whenever they're laid out. They incorporated the --  
14 I've seen some of these things written up, but that's  
15 always in there. Especially when we're talking about  
16 neurodevelopment, this could be true for AOPs for  
17 estrogens too, right? So, critical periods are  
18 essential; so, when the exposure happens has to be  
19 part of that AOP.

20                   **DR. SCOTT LYNN:** Absolutely, yes. In  
21 terms of -- what I would say is that AOPs are going to  
22 be specific for a certain life stage or a certain  
23 situation, right? That needs to be considered, yes.

24                   **DR. JAMES MCMANAMAN:** Any more

1 questions? Okay.

2 **DR. STANLEY BARONE:** Just to add to  
3 Scott's comments. Again, whether we're talking about  
4 outcomes, the molecular initiating events in this  
5 framework can be common, as you saw in the graphic.  
6 But the adverse outcome in a developmental context for  
7 fish or frogs may be very different than for mammals.

8 So, again, if you look at the  
9 framework, you may be measuring very similar molecular  
10 initiating events, but the outcomes and the life stage  
11 are going to vary across species. There will be  
12 additional AOPs for each species for each outcome.

13 **DR. KRISTI PULLEN FEDINICK:** Maybe you  
14 mentioned this, but it's after lunch and getting late.  
15 Was there a reason for wanting to prioritize the MIEs?  
16 Is it a resource question? Is it a scientific  
17 question? What's the reasoning behind wanting to  
18 exclude the low priority ones from the AOP network  
19 that you would use for screening? And why would you  
20 prioritize them overall?

21 **DR. SCOTT LYNN:** To answer your  
22 question, yes. It is a resource, and because it is  
23 resource, you want to approach it from a scientific  
24 perspective. It would be great if we could develop

1 assays immediately for everything, but that isn't  
2 really how it happens. So, we have to focus on what  
3 we feel is going to be the most important, and that's  
4 what we were trying to get across with the  
5 prioritization ranking.

6 **DR. KRISTI PULLEN FEDINICK:** Could you  
7 anticipate then, incorporating the low priority assays  
8 or MIEs or developing those over time as you grow this  
9 program out? Or do you think that those low priority  
10 MIEs will never be included in this network?

11 **DR. SCOTT LYNN:** That's a great  
12 question, and I can't give a definitive answer on that  
13 yet. One of the things I think is that we have to  
14 examine for more toxicological evidence for certain  
15 MIEs and determine if they are MIEs that would be  
16 responsive to the chemical universe that we're  
17 interested in.

18 **DR. JAMES MCMANAMAN:** Other questions?

19 **DR. J. DAVID FURLOW:** Sorry to follow  
20 up on questions. I have my own questions, too, but  
21 these are triggering ones I had. I think it is an  
22 important point to think through this going forward.  
23 If you have the high and medium and low priority  
24 targets, what does that mean? It would be nice to

1 know what that means functionally.

2 This will be an iterative process, I  
3 assume. So the idea is that you build out the  
4 framework, you build out your assays, you screen and  
5 you see who fits the biology or the responses. And  
6 then if it doesn't, you look at other targets? What's  
7 the sort of process?

8 **DR. SCOTT LYNN:** Exactly. It will be  
9 an iterative process in terms of what you just said.  
10 My understanding is that we're going to build out the  
11 assays that we've presented here, begin to develop  
12 models that would help us to prioritize and screen --  
13 as we've shown with the other pathways -- and then  
14 ultimately, revisit and see where we might be missing  
15 things.

16 **DR. STANLEY BARONE:** If I may add onto  
17 that, to Scott's point. Just to remind the panel, in  
18 the 21-year history of the EDSP program, this has been  
19 an iterative, learning-by-doing program. The whole  
20 pivot to high-throughput and computational approaches,  
21 as you witnessed today, we showed that we have taken  
22 into account public comments and the previous SAP and  
23 recommendations in improvements of the current  
24 screening approaches. So, that will be part of the

1 effort.

2 **DR. JAMES MCMANAMAN:** That was Stan  
3 Barone. Other questions? Do you have another one?

4 **DR. J. DAVID FURLOW:** Can I follow up?

5 **DR. JAMES MCMANAMAN:** Sure.

6 **DR. J. DAVID FURLOW:** As part of this  
7 iterative process then, the idea is that can you also  
8 learn from the estrogen and androgen results? If you  
9 need 11 androgen receptor assays to replace one  
10 binding assay, or if you need 18 estrogen receptors,  
11 do we need to do that for all of these things, do you  
12 think?

13 What's sort of the minimum number of  
14 assays with different kind of readouts that one might  
15 need to feel confident that you've hit that MIE or  
16 you've -- I've got one assay, one assay here, four  
17 assays here. What have we learned from the estrogen  
18 and androgen stories? Or is it going to be totally  
19 different for thyroid, do you think?

20 **DR. SCOTT LYNN:** I wouldn't say that  
21 it's going to be totally different. I think there are  
22 absolutely lessons learned. I think you bring up a  
23 very good point.

24 For TPO, there were two assays, and

1 they were developed in order to build confidence in  
2 the results, if you look at the publications. I would  
3 say that the feeling is, is that that is how the  
4 process is going to move forward for all of these  
5 MIEs, where possible trying to identify and build  
6 orthogonal assays that will give the confidence to be  
7 able to ascertain if any given chemical is actually  
8 acting through that molecular initiating event.

9 That's not necessarily an easy task,  
10 though, for all of these. That's part of why we're  
11 coming here now to the panel, to ask for input on --  
12 your recommendations on the way to do that.

13 **DR. JAMES MCMANAMAN:** Other questions?

14 If not, then I think that we can adjourn for the  
15 evening. I'd like for all the panelists to meet in  
16 the break room for a brief post-meeting meeting. Then  
17 we'll see you all back tomorrow morning at 9:00 a.m.

18

19 **[MEETING ADJOURNED FOR THE DAY]**

20

\* \* \* \* \*

21

1  
2  
3  
4  
5  
6  
7  
8  
9  
10  
11  
12  
13  
14  
15  
16  
17  
18  
19  
20  
21  
22  
23  
24

**DAY 2**

**DR. TODD PETERSON:** Good morning. We'd like to start our session. One thing I forgot in my prepared statement yesterday was to kindly request if you have a cell phone to put it on vibrate. That would be nice.

This is Day 2 of our meeting on Continuing Development of Alternative High-Throughput Screens To Determine Endocrine Disruption Focusing On Androgen Receptor, Steroidogenesis, and Thyroid Pathways. I don't really have anything else to make comment of. We do have public comments this morning. We may have a little extra time left over from the comment period, if it's not used in which case we may move our agenda forward a little bit. And we'll see how that goes.

I'll turn the meeting over to the chair, Dr. McManaman.

**DR. JAMES MCMANAMAN:** Good morning. We'll start with going around and doing introductions again. I'm Jim McManaman at the University of Colorado.

**DR. DANA BARR:** I'm Dana Barr. I'm a professor of Environmental Health at Emory University.

1                   **DR. MARION EHRICH:** Marion Ehrich,  
2 Virginia Tech Pharmacology and Toxicology.

3                   **DR. SONYA SOBRIAN:** Good morning.  
4 Sonya Sobrian, Howard University College of Medicine  
5 Department of Pharmacology.

6                   **DR. SUSAN NAGEL:** Susan Nagel,  
7 University of Missouri, OBGYN and Women's Health.

8                   **DR. TOM ZOELLER:** Tom Zoeller,  
9 University of Massachusetts at Amherst, thyroid,  
10 hormone action and brain development and chemicals  
11 that interfere with that.

12                   **DR. GRANT WELLER:** I'm Grant Weller.  
13 I'm a senior scientist at Savvysherpa in Minneapolis,  
14 Minnesota, and I'm a statistician.

15                   **DR. KRISTI PULLEN FEDINICK:** Kristi  
16 Pullen Fedinick at the Natural Resources Defense  
17 Council.

18                   **DR. ED PERKINS:** Ed Perkins, Army Corp  
19 of Engineers. I'm an eco-toxicology toxicogenomics,  
20 and hazard assessment.

21                   **DR. REBECCA CLEWELL:** Rebecca Clewell,  
22 ScitoVation, in vitro and in silico tools to improve  
23 chemical safety assessment.

1                   **DR. MICHAEL PENNEL:** Michael Pennell.  
2 I'm an associate professor of biostatistics at Ohio  
3 State University.

4                   **DR. IOANNIS ANDROULAKIS:** Ioannis  
5 Androulakis, professor of biomedical engineering,  
6 Rutgers University.

7                   **DR. SCOTT BELCHER:** Scott Belcher,  
8 professor of biological sciences and member of the  
9 Center for Health and Human Environment at North  
10 Carolina State University.

11                   **DR. VERONICA BERROCAL:** Veronica  
12 Berrocal, associate professor of biostatistics at the  
13 University of Michigan.

14                   **DR. DAVID FURLOW:** David Furlow,  
15 professor of neurobiology, physiology, and behavior at  
16 the University of California, Davis.

17                   **MR. TODD PETERSON:** And my coffee's  
18 just sinking in. I'm Todd Peterson. I'm the DFO,  
19 Designated Federal Official for this meeting. And to  
20 my right is Tamue Gibson, who is my colleague also a  
21 DFO for the meeting.

22                   Dr. David Jett is going to be calling  
23 in today, and so you don't see him physically present,  
24 but he's dialing in now. And we're expecting Dr. Shaw

1 to show up at some point. He's in travel coming to  
2 the meeting.

3 **DR. JAMES MCMANAMAN:** Okay. First, if  
4 we could, there's a special slide. Can we get that  
5 pulled up?

6 Scott Lynn was nice enough to send  
7 around a picture yesterday from the SETAC meeting.  
8 Many of you remember Steve Klaine, who was the  
9 previous chair of this committee. He passed away  
10 2016. It's hard to see this I guess, but that's --  
11 blow it up -- that's his pedigree. Those are the  
12 students that he was involved in training.

13 And those of you who don't know Steve  
14 and those of you who do know Steve realize that he was  
15 an exceptional individual. I mean both as a scientist  
16 and as a person. He had an exceptional warmth and a  
17 funny sense of humor. And despite that, he wasn't a  
18 bad pool player either. So, we miss Steve. And it's  
19 just a tribute to him to how many people he's  
20 impacted.

21 With that, then the next order of  
22 business is some clarifications. There were some  
23 conversations that were off record yesterday that I'd

1 like to have put on the record. If we could start  
2 with Susan Nagel and Dr. Paul Friedman.

3 **DR. SUSAN NAGEL:** Let's see. I had a  
4 question. I'm not sure what slide number it was of  
5 Dr. Paul Friedman's, but it's slide number 97 of the  
6 PDF that was sent to us; and that is in looking at the  
7 number of chemicals that impacted one, two, three to  
8 ten hormones.

9 My question is there's a blue box  
10 around the number of chemicals that impacted three or  
11 more hormones. And so, I think my question could be  
12 summarized as how many chemicals are in that box  
13 versus in the title of the slide it said 656 chemicals  
14 were selected to move forward. There's like -- and I  
15 guess I can -- I'm not sure if you know the answer to  
16 that question.

17 The first question just being how many  
18 chemicals are inside that blue box.

19 **DR. KATIE PAUL FRIEDMAN:** Okay. This  
20 is Dr. Katie Paul Friedman. Your question this  
21 morning, as I understand it, is you just would like to  
22 know how many chemicals are in the blue box here. And  
23 that's a number approaching 500, just approximate. We

1 screened 656 chemicals in concentration response out  
2 of 2012 screened in single concentration.

3 But there's always little caveats to  
4 that, right. Predominantly we moved chemicals from  
5 single concentration to multi-concentration screening,  
6 based on the criteria that the chemical perturbed at  
7 least three steroid hormones in the panel. But we  
8 also advanced almost 150 chemicals for which that was  
9 not true.

10 We also advanced a number of chemicals  
11 that were interesting as reference chemicals or  
12 chemicals of classes of interest of the Agency, like  
13 phthalates that maybe did not satisfy that criteria in  
14 single concentration screening.

15 You know, extending your question and  
16 thinking about it the way that we discussed a little  
17 bit yesterday, moving ahead to that Venn diagram that  
18 I showed.

19 **DR. SUSAN NAGEL:** Yes, you're  
20 absolutely dead on. The question evolved quickly, but  
21 I was very interested in -- which I think she's going  
22 to speak to with the Venn diagram though in that slide  
23 of 97 -- of the chemicals that have impacted one or  
24 two hormones, how many of those, since there's a

1 difference. There's 500-ish that are in the blue box,  
2 and there's 650 that moved forward. There's 150 other  
3 ones which you just spoke to a little bit. I was just  
4 curious, then, how many of the 1 or 2 that moved  
5 forward.

6 **DR. KATIE PAUL FRIEDMAN:** Right. I  
7 unfortunately don't have an exact number for you, but,  
8 you know, approximately 150 chemicals moved forward  
9 that came from the 0, 1, or 2 bars. They're  
10 distributed across there.

11 And to give an idea of why perhaps we  
12 maybe prioritize this way, you know, thinking about  
13 prioritizing chemicals that maybe have the most impact  
14 on steroidogenesis in this particular H295R model,  
15 you'd probably want to look at the chemicals that seem  
16 to perturb more hormones. And I'm thinking  
17 practically with the parameters that are imposed on  
18 all aspects of science, right, is money.

19 Just giving approximate figures, if  
20 there are 400 chemicals that perturbed only one or two  
21 hormones, to screen those in multi-concentration  
22 response would have been well over a million dollars.  
23 This resulted in a great savings to the program and

1 allowed us to screen chemicals that we hypothesized  
2 had a greater impact on the system.

3 **DR. SUSAN NAGEL:** And so then as a  
4 follow-up question to that, of those chemicals that  
5 there's a hundred and -- well, we don't know. The  
6 150-ish chemicals that came from 0, 1, or 2 columns,  
7 how many of those chemicals, then, did you compare the  
8 results of this initial with the full concentration  
9 response? How many of those chemicals actually --  
10 they actually did go on to impact more than 0, 1, or 2  
11 hormones?

12 **DR. KATIE PAUL FRIEDMAN:** You mean for  
13 some Chemical X, if in single concentration it only  
14 impacted one hormone, but then we actually happen to  
15 screen it in multi-concentration and perhaps it  
16 impacted 2 or 0?

17 **DR. SUSAN NAGEL:** Or more. Yeah.

18 **DR. KATIE PAUL FRIEDMAN:** Right. We in  
19 our initial work, the Karmaus et al., we did look at  
20 recall sensitivity. And in that work, there were  
21 about 120 chemicals, that were advanced, that only  
22 perturbed zero to three steroid hormones. And if you  
23 lumped those together, the recall sensitivity was

1 close to 50 percent as Dr. Pullen Fedinick mentioned  
2 yesterday.

3 The recall, the ability to create a  
4 concordant result in a second screening was not as  
5 good for chemicals that only affected a few hormones.  
6 But we haven't repeated the analysis since running  
7 even more chemicals to look at the specific recall.

8 **DR. SUSAN NAGEL:** But you have those  
9 data that you could look at? Because then you moved  
10 those chemicals forward and then you tested them; so  
11 you just haven't done that particular analysis for  
12 this?

13 **DR. KATIE PAUL FRIEDMAN:** There may be  
14 a very small number of chemicals that we could add to  
15 that. Subsequent to that Karmaus et al. work, we went  
16 back and screened chemicals that perturbed only three  
17 steroid hormones. In that initial work, we had had a  
18 threshold of four.

19 So, there's an iterative screening  
20 process where we screened additional blocks of  
21 chemicals. And so, I just haven't looked back to see  
22 how if we recalculated recall, let's say there were  
23 120 chemicals, that only affected zero to three  
24 steroid hormones. I haven't redone it now that we've

1 screened about a hundred chemicals more in total. So,  
2 the numbers might revise slightly.

3 But, you know, keep in mind also that  
4 that Karmaus et al. work, while completely valid, uses  
5 a ToxCast Data pipeline which we didn't use in the  
6 analysis presented yesterday and today. You're  
7 looking at different types of analysis. The numbers  
8 might shift depending on how you do the analysis and  
9 your hit call-in.

10 **DR. SUSAN NAGEL:** Thank you.

11 **DR. KATIE PAUL FRIEDMAN:** I wanted to  
12 add just another clarification based on what we  
13 discussed yesterday afternoon. We had brought up this  
14 Venn diagram, and I think it's important to just  
15 reiterate. I think everyone saw this, but here in the  
16 Venn diagram, we only have four ellipses. This is  
17 about steroid hormone classes and not the number of  
18 steroid hormones hit.

19 For example, this 1 chemical that hits  
20 only the androgen steroid hormone class, it's possible  
21 it hit one hormone, but it's possible it hit two. Or  
22 this one chemical that hit only estrogen and androgen  
23 steroid hormone classes may actually have hit four  
24 steroid hormones because there are two androgens and

1 two estrogens. I just wanted to clarify that aspect  
2 of your question.

3 And, you know, the other clarification  
4 we talked about related to the screening  
5 implementation versus, you know, what we found in  
6 multi-concentration screening and concerns that maybe  
7 we were missing things by not screening every chemical  
8 and multi-concentration. You know, I'd like to maybe  
9 frame that issue a little bit with respect to the  
10 charge question.

11 You know, there's a screening  
12 implementation that we executed in order to screen as  
13 many chemicals as possible, given our parameters,  
14 through multi-concentration screening. But if you  
15 were looking at this as an alternative for the EDSP  
16 program and for just estrogen and testosterone and  
17 replacing the low throughput H295R, then I imagine you  
18 would have chemicals of priority and you would screen  
19 those in multi-concentration.

20 And so, in terms of using this as an  
21 alternative, I imagine that you would be looking at  
22 using the multi-concentration version, not just a  
23 screening implementation that we ran in an effort to  
24 try to screen as many of the relevant chemicals in our

1 list of over 2,000, right. You would have a  
2 regulatory imperative there to do a concentration  
3 response screening, so.

4 **DR. SUSAN NAGEL:** Yeah. I think that's  
5 a super important clarification. And it's a little  
6 confusing in the charge questions of presenting the  
7 data -- you know, the work that you did versus how  
8 would it be implemented. So, great.

9 And then, yeah, I think as far as the  
10 Venn diagram goes, yes, those are groups of hormones  
11 that were impacted; androgens being however many are  
12 in there. And then I think the question, though,  
13 really that I had has already been addressed, about  
14 that.

15 **DR. KATIE PAUL FRIEDMAN:** Great. Thank  
16 you.

17 **DR. JAMES MCMANAMAN:** Dr. Bever, did  
18 you have a comment about this, too?

19 **DR. RONNIE JOE BEVER:** Yes, I did, but  
20 Dr. Paul Friedman pretty much covered it. I tried to,  
21 yesterday, specify that as the Endocrine Disruption  
22 Screening Program would mandate when we did our  
23 testing call-in, that it would be multi-concentration.  
24 So it's clear-cut. It will be multi-concentration.

1           As Dr. Paul Friedman said, the single  
2 concentration thing was simply a way to efficiently  
3 use the resources. It's not going to be the way that  
4 we carry out the data call-ins. Those will be multi-  
5 concentration. Thank you.

6           **DR. JAMES MCMANAMAN:** Thank you. The  
7 other conversation that we want to bring on the record  
8 is between Dr. Clewell and Dr. Schappelle. If you  
9 could tell us what the question was and what the  
10 response was.

11           **DR. REBECCA CLEWELL:** Okay. Hearing  
12 this conversation, now I'm getting two -- I think the  
13 impetus for this conversation was along a similar vein  
14 as that conversation. I had asked a question about  
15 the charge question to the whole panel. And I'm  
16 already having trouble. I'm sorry. I was thinking  
17 about this so hard last night.

18           I was wondering about the charge  
19 question and the difference between the sort of very  
20 exact nature of the charge question for the  
21 steroidogenesis assay in which the question is, can  
22 this low throughput -- or the high throughput directly  
23 replace the low throughput? And also, the same sort  
24 of question for the androgen receptor. Can these

1 eleven assays in the androgen receptor model directly  
2 replace the low throughput androgen receptor-binding  
3 assay?

4 That's a very specific question. And  
5 it feels different and causes a little bit confusion  
6 in my mind, and I believe by listening to the rest of  
7 the panelists, maybe theirs as well; because the  
8 introductory slide with all of the different -- where  
9 it shows the potential replacements of the current  
10 EDSP assay, shows the AR lit up and highlighted and  
11 many different replacement assays.

12 It also shows the ER in the  
13 steroidogenesis -- is there any way we could bring  
14 that up because I feel like I'm not going to describe  
15 this well.

16 And so, even though it says currently  
17 right now we're trying to replace the AR binding  
18 assay, so that's proposed here, and it's highlighted  
19 as proposed. And only for the steroidogenesis model.  
20 So, we're doing a one-to-one replacement.

21 And then there's in parenthesis,  
22 "future" for the in vivo test. It's shown here in a  
23 highlight, and it's introduced in that way. And so,  
24 there's conversations happening here, I believe,

1 amongst like yesterday what was happening publicly,  
2 that made me think that some other folks might be  
3 having a little bit of cognitive dissonance about this  
4 -- as I was -- in terms of the charge question is very  
5 specific, but the goal of this is much broader.

6 And so, while the AR model right now  
7 may be clearly replaceable, the concerns about things  
8 like off-target nongenomic effects and other concerns  
9 like that -- not off-target, I'm sorry, but nongenomic  
10 effects is more a concern when the AR is moving to  
11 replace a whole animal in vivo, right. That's a  
12 different question, but it's not entirely separateable  
13 from the discussion of the AR assay model.

14 I don't know if I'm explaining this  
15 very well today. But that was my question. That was  
16 my underlying question when I asked about the charge  
17 question. And Seema came to kind of clarify about the  
18 goal of this particular meeting versus the goal of the  
19 overall program in general and it was moved towards in  
20 vitro.

21 **DR. SEEMA SCHAPPELLE:** Yeah. Thanks,  
22 Dr. Clewell. I think you've actually done my job for  
23 me where you've asked the question and you're

1       answering it as well. But let me further clarify just  
2       a little bit.

3                   The intention of the alternatives that  
4       are being proposed within the program, from a broad  
5       perspective, are to achieve alternatives as coverage  
6       for the full Tier 1 battery as we progress over time,  
7       but we're not there yet. That's going to be a process  
8       that's going to take some time. We are here today, in  
9       this meeting, focusing on the one-to-one replacement  
10      of the AR model for the androgen receptor-binding  
11      assay, and similarly, for the steroidogenesis approach  
12      as well.

13                   Yes, we're segmenting. We're doing  
14      this in steps as we progress, and that's the portion  
15      that we're focusing on right now.

16                   **DR. REBECCA CLEWELL:** Okay.

17                   **DR. JAMES MCMANAMAN:** Thank you. As a  
18      reminder, this brings up the point is that we're here  
19      to evaluate the charge questions and the science  
20      behind those charge questions as they specifically  
21      apply. And while it's important to understand the  
22      overall goal, you know, it's like any scientific  
23      question is, that we have to evaluate it as it's  
24      stated. And if it's not stated clearly or if there's

1 a gap in there, then that should be brought up in the  
2 discussion of the charge question.

3 Let's see. That ends the two  
4 conversations. And it's fine to have the  
5 conversations. It's just that we need to put them on  
6 the record so that it's available to the public.

7 At this stage, I think we're at follow-  
8 up questions from the previous day. And so I'll open  
9 it up to the panel if there are other questions that  
10 we need clarification for. We can do that right now  
11 if you have it.

12 Yes, Dr. Pullen Fedinick.

13 **DR. KRISTI PULLEN FEDINICK:** This is  
14 Kristi Pullen Fedinick at NRDC. It's any question and  
15 all that we may have had that have come up or what was  
16 the -

17 **DR. JAMES MCMANAMAN:** Well, it should  
18 be related to the topics, but.

19 **DR. KRISTI PULLEN FEDINICK:** Yeah, I  
20 was curious what everyone's favorite color was and,  
21 you know, what music you listen to when you're getting  
22 up in the morning.

23 **DR. JAMES MCMANAMAN:** Yeah. So  
24 anything that you need clarification on.

1                   **DR. KRISTI PULLEN FEDINICK:** That's  
2 great. I actually had a question about the  
3 steroidogenesis assays. This would apply for the EN,  
4 so charge questions, I guess, 2 and 3. And so I was  
5 curious whether or not the Agency had done tests to  
6 look at how the results compared to the Tier 1 List 1  
7 results.

8                   The OECD comparisons that you've shown  
9 so far are really just for standardization, right, for  
10 the validation process for that. But, did you look at  
11 whether or not you were able to capture the Tier 1  
12 List 1 H295R assay results?

13                   **DR. SEEMA SCHAPPELLE:** I'm going to ask  
14 Kristan Markey to come up as well as Katie Paul  
15 Friedman. If you guys both can provide your  
16 perspectives, that would be great.

17                   **DR. KATIE PAUL FRIEDMAN:** That's a  
18 great question, Dr. Pullen Fedinick. There were a  
19 number of chemicals from List 1 that did have H295R  
20 assay results. But keep in mind that those results  
21 were interpreted by the program offices as part of a  
22 weight of evidence. And so, the determinations made  
23 were really integrated within that weight of evidence  
24 analysis.

1           And so, our exact hit call-in, based on  
2           single assay results, are not necessarily comparable  
3           because they had a lot more data to look at for the  
4           entire data package that was submitted for that  
5           chemical. Because of the different purposes of those  
6           experiments, they're difficult to compare and not  
7           necessarily a fair comparison, would be my opinion.

8           **DR. KRISTI PULLEN FEDINICK:** Could I  
9           just comment? Wasn't that done for the AR model, so  
10          we looked at the results of the List 1, Tier 1 assays  
11          and compared that? Could we also not -- I mean it  
12          seems as though we should be able to do that  
13          scientifically for steroidogenesis alone as well.

14          **DR. KRISTAN MARKEY:** That work is  
15          ongoing.

16          **DR. KRISTI PULLEN FEDINICK:** So then  
17          right now we can't compare it to how it's actually  
18          worked in practice outside of just the validation  
19          efforts?

20          **DR. KRISTAN MARKEY:** It hasn't been  
21          done. I mean you are free -- the data is publicly  
22          available, but it has not been completed yet in time  
23          for this SAP meeting.

1                   **DR. JAMES MCMANAMAN:** Other questions?

2                   Sure. Dr. Nagel?

3                   **DR. SUSAN NAGEL:** I'm very curious  
4                   about your comment yesterday, Dr. Paul Friedman, about  
5                   the 70 percent cell viability as measured by the MTT  
6                   assay. And so, you said that was within the realm of  
7                   the variation of the assay. I'm curious how that was  
8                   determined. Was that the standard deviation? Was  
9                   that the CV? Was that an LOD, an LOQ? Just because I  
10                  am very concerned with using that number, but.

11                  **DR. KATIE PAUL FRIEDMAN:** I have a  
12                  slide about this.

13

14                  **DR. SUSAN NAGEL:** Awesome.

15                  **DR. KATIE PAUL FRIEDMAN:** I'm pulling  
16                  it up right now. While they are working on that, just  
17                  to give you some background on the ToxCast program,  
18                  typically in the ToxCast Data pipeline, which was used  
19                  to analyze the MTT data, we approximate what's called  
20                  the baseline median absolute deviation. And  
21                  basically, that is the median absolute deviation  
22                  around a baseline that's defined by the user of the  
23                  pipeline.

1           The default on that is the activity at  
2           the two lowest concentrations screened. For most of  
3           our assays, there's very little activity across the  
4           two lowest concentrations, and it gives us a  
5           conservative estimate of maybe a larger estimate of  
6           what the noise is around baseline. And then,  
7           typically, we use a multiple of that baseline median  
8           absolute deviation to create a threshold for hit  
9           calling.

10           As an example, typically we might use  
11           three times the baseline median absolute deviation or  
12           five or six to delineate between something that might  
13           look like background noise, versus something that's  
14           truly a signal in the high throughput screening assay.  
15           And similarly, we've done that for the MTT data. Let  
16           me show you what that looks like.

17           Okay. I'm bringing up the slide. As  
18           you suggested, we did use a 70 percent cutoff for cell  
19           viability as determined using MTT data as an indicator  
20           of cell viability. But most of the MTC data  
21           corresponded to cell viability of greater than 80  
22           percent. What that means is that for the top  
23           concentration used for any given chemical, this is a  
24           distribution of those viability data.

1           And you can see here on the X-axis the  
2 percent cell viability versus the frequency. And  
3 almost the entire distribution falls between greater  
4 than 80 to a little over a hundred percent because we  
5 don't constrain our curves to a hundred percent. You  
6 can see only 35 out of the 671 samples -- this is not  
7 unique chemicals -- in multi-concentration, had a  
8 viability between 70 percent and 80 percent. We're  
9 not talking about actually a large fraction of the  
10 library falling into that zone.

11           And then here annotated in the dashed  
12 red lines is actually five times the baseline median  
13 absolute deviation for the MTT assay, as determined  
14 using the ToxCast Data pipeline. And so you can see  
15 that actually that bounds the data in this  
16 distribution quite well. You can see a little bit of  
17 information that's just slightly outside of it, but  
18 those bounds tend to encompass the distribution.

19           We think that probably looking at  
20 effects that are 10 to 20 percent cell viability in  
21 this assay, it's likely that that's within the noise  
22 of the baseline based on the way that we're running  
23 the pipeline.

1                   **DR. SUSAN NAGEL:** But that was  
2 determined on the two lowest concentrations of test  
3 chemicals as opposed to the variation of the vehicle  
4 control?

5                   **DR. KATIE PAUL FRIEDMAN:** That's  
6 correct.

7                   **DR. SUSAN NAGEL:** What is the rationale  
8 for that?

9                   **DR. KATIE PAUL FRIEDMAN:** It's a  
10 conservative estimate of the background activity.  
11 Typically, in the two lowest concentration screened  
12 for most of our assays in the list of assays that we  
13 have, there's very little activity, and it gives you  
14 more samples from which to pool across the plate.

15                   A lot of times ToxCast Data, we're  
16 including data from vendors that are designing plates  
17 and they might always put DMSO in the top-left corner  
18 of a plate. Anyone in the room can think about why  
19 there's so many reasons not to do that. This gives us  
20 a better sampling across the plate and really a better  
21 indication than just using the DMSO.

22                   And we do have some assays and vendors  
23 that will randomize their plate design. And in that  
24 case, you might find it more acceptable to use DMSO,

1 but this was not the case with the H295R MTT data.  
2 They were not randomized plate designs.

3 **DR. SUSAN NAGEL:** I guess that's a  
4 whole other thing.

5 **DR. KATIE PAUL FRIEDMAN:** Yes.

6 **DR. SUSAN NAGEL:** That doesn't seem  
7 completely unacceptable.

8 **DR. KATIE PAUL FRIEDMAN:** How do people  
9 screen data analysis and platelet out, it's a lot of  
10 experimental details. But we think that using the two  
11 lowest concentrations, typically, is giving us a  
12 better consideration of what the variability around  
13 the baseline is.

14 But in some cases, we might choose DMSO  
15 if we can show that it truly is representing the  
16 baseline variability in the assay, and that's just a  
17 matter of proving that.

18 **DR. SUSAN NAGEL:** Yeah. I mean, I like  
19 the rationale. I do not like using the two lowest  
20 concentrations. Just because, by definition, they  
21 will increase the variation. But I mean, you feel  
22 comfortable with that as far as being conservative?

23 **DR. KATIE PAUL FRIEDMAN:** We're  
24 typically not seeing activity. For instance, if you

1 remember any of the plots yesterday, the example  
2 plots, for the mean Mahalanobis distance by  
3 concentration. Almost all of our mean Mahalanobis  
4 distances that exceed the critical limit are the top  
5 three concentrations and not the lower two. It's kind  
6 of the exception to see that.

7 For most chemicals, the lowest two  
8 concentrations, in this assay and in many other  
9 assays, are not active. And if they are, again, it's  
10 just giving you a conservative estimate of that  
11 variability. But that's just a data processing choice  
12 that can be changed.

13 **DR. TODD PETERSON:** This is Todd  
14 Peterson. I just want to add that the supplemental  
15 slides that are being shown, during questions and  
16 answers, I will be providing them to the panel and  
17 then after the meeting, I'll upload them to the docket  
18 so the public can have access to them as well.

19 **DR. JAMES MCMANAMAN:** That question was  
20 from Dr. Nagel and Dr. Paul Friedman answered it.  
21 This is Dr. McManaman. I have a follow-up question  
22 about that. Is this data -- or these data, rather,  
23 are they from your laboratories or are they a  
24 composite from all of the vendor laboratories?

1                   **DR. KATIE PAUL FRIEDMAN:** For the data  
2 presented here, the MTT data were generated initially  
3 by CeeTox who was then bought by Cyprotex who  
4 generated it. And same for the H295R cell culture.  
5 CeeTox was not equipped to do the HPLC-tandem mass  
6 spec measurement of the steroid hormones, and they  
7 subcontract that to Opands (phonetic) who is a company  
8 that basically just that. It was a collaboration  
9 between those two vendors to deliver the data set.

10                   **DR. JAMES MCMANAMAN:** Yeah. I guess my  
11 question was whether the variability that you're  
12 seeing is due to the inherent variability of the assay  
13 or the variability of different providers giving --  
14 because, like you said, some put up in the top left-  
15 hand corner, some randomized it. The question is  
16 about whether the variability is due to where the  
17 assay was performed or whether there's really inherent  
18 variability.

19                   **DR. KATIE PAUL FRIEDMAN:** All of the  
20 MTT data were generated by CeeTox/Cyprotex within the  
21 same group.

22                   **DR. JAMES MCMANAMAN:** Thank you. Dr.  
23 Clewell?

1                   **DR. REBECCA CLEWELL:** I think we need  
2 to be careful about how we use the word conservative,  
3 especially when we're in the realm of risk assessment,  
4 even though we're not talking about the risk  
5 assessment today. Conservative has a lot of  
6 connotation to it.

7                   When you're looking for a hit in an  
8 assay in these high throughput screening, then  
9 expanding the range of variability so that it makes it  
10 harder to get a hit is conservative in the way that it  
11 makes it harder to get a hit so you're less likely to  
12 get a false positive.

13                   Here, what's happening is we're using  
14 the viability - we, you all -- are using the viability  
15 to judge where a hit is viable or reasonable or not.  
16 And that's actually having the opposite effect, right?  
17 So, instead of making it harder to get a hit, because  
18 this is an inhibition assay where it's based -- it's  
19 steroidogenesis based on mitochondrial function, then  
20 spreading the range and making it more permissive to  
21 have unhealthy cells in your assay, is actually making  
22 it more likely that you will get a hit.

23                   These are opposite effects. And I just  
24 want to be careful because conservative has a

1 connotation. In this case, you actually could make  
2 the argument that this is conservative for risk  
3 assessment because you're more likely to get a hit.

4 **DR. JAMES MCMANAMAN:** Dr. Clewell, this  
5 sounds like a discussion for the charge question.

6 **DR. REBECCA CLEWELL:** Sorry.

7 **DR. JAMES MCMANAMAN:** It's not --

8 **DR. REBECCA CLEWELL:** Right.

9 **DR. JAMES MCMANAMAN:** We want  
10 clarification.

11 **DR. REBECCA CLEWELL:** Okay.

12 **DR. JAMES MCMANAMAN:** But it's an  
13 important point, but we should probably bring it up  
14 during the charges.

15 **DR. REBECCA CLEWELL:** Okay.

16 **DR. JAMES MCMANAMAN:** Okay?

17 **DR. REBECCA CLEWELL:** I can do that.

18 But I don't know if she wants to respond to that.

19 **DR. KATIE PAUL FRIEDMAN:** Can I just  
20 respond to that?

21 **DR. REBECCA CLEWELL:** Yeah.

22 **DR. KATIE PAUL FRIEDMAN:** Yeah. When I  
23 say conservative estimate of the baseline, I just mean  
24 that we're including more noise in our estimate of the

1 baseline, no illusion to risk assessment or anything  
2 like that.

3 And you're correct. In relationship to  
4 the OECD test guidelines, which allows 80 percent  
5 viability, we allowed 70 percent. And in this case,  
6 that's only 35 out of 671 samples that fall within  
7 that 10 percent range. But it basically allowed us to  
8 look at more samples, and more concentrations of that  
9 sample, to look for a response on steroid hormone  
10 biosynthesis, which was really the objective.

11 In addition to looking at how our  
12 baseline noise looked, it's also, I think, helpful  
13 because it allows us to include perhaps an additional  
14 concentration to see if there's concentration response  
15 behavior that we can interpret. It just gives us a  
16 little more information. But, again, it impacted  
17 extremely few samples, but it seemed to be a very  
18 defenseable baseline just looking at our data and our  
19 interpretation of the data.

20 **DR. JAMES MCMANAMAN:** Other questions?  
21 I guess it just goes to show you that in DC nothing  
22 conservative can be taken simply.

23 Okay. I think we can move on then to  
24 the public commenters. I don't know exactly how you

1 guys are going to do this. Ellen Mihaich, Rick  
2 Becker, Steve Levine, and Brandy Riffle. There may be  
3 some substitutions I understand. I understand as a  
4 group, you have 50 minutes; is that right?

5 **DR. ELLEN MIHAICH:** Probably  
6 approximately that, yes.

7 **DR. JAMES MCMANAMAN:** Okay.

8 **DR. ELLEN MIHAICH:** Okay. We'll keep  
9 going as fast as we can. That's why we're all here so  
10 we can just move right down the line.

11 **DR. TODD PETERSON:** And be sure to  
12 announce yourself --

13 **DR. ELLEN MIHAICH:** We will.

14 **DR. TODD PETERSON:** -- and affiliation  
15 before speaking. Thank you.

16 **DR. ELLEN MIHAICH:** Yeah. Thanks. Hi,  
17 my name's Ellen Mihaich, and on behalf of the  
18 Endocrine Policy Forum, I want to thank you for  
19 allowing us to speak today. I'm just going to present  
20 a few introductory comments.

21 As I said, my name's Ellen Mihaich. I  
22 am an ecotoxicologist, risk assessor. I am the owner  
23 and principal scientist of Environmental and  
24 Regulatory Resources, a small consulting company in

1 Durham, North Carolina. I am also an adjunct  
2 professor at Duke University, and I teach risk  
3 assessment, and the scientific coordinator for the  
4 Endocrine Policy Forum.

5 The Endocrine Policy Forum is a  
6 consortia of List 1, primarily List 1 test order  
7 recipients and other stakeholders. We are a self-  
8 funded group and we represent more than 95 percent of  
9 the people that got test orders and had to do all of  
10 the initial screening for Tier 1. We have a lot of  
11 experience within our group and a lot of experience  
12 with the Tier 1 assays and evaluating them.

13 We also have additional stakeholders,  
14 as I have shown on this slide, like CropLife America,  
15 American Chemistry Council, the American Cleaning  
16 Institute, Consumer Specialty Products Association,  
17 the American Petroleum Institute, and some various  
18 consulting companies.

19 Our main objective as we went into this  
20 whole program was to be able to better understand how  
21 to perform and evaluate the screens that were in Tier  
22 1. So, we were addressing technical guidance and  
23 science advocacy, and focusing ultimately on a very  
24 balanced risk-based analysis in order to properly

1 screen, test, and regulate chemicals for endocrine  
2 activity and the potential to cause endocrine adverse  
3 health effects through the endocrine system.

4 And it's also becoming much more  
5 important that we do this because around the world,  
6 there are different regulations, and we would like to  
7 be as harmonized as possible to better evaluate our  
8 chemicals.

9 And to us, the dialogue is very  
10 important, so that's why we are very involved, and we  
11 have been from the start in providing comments here;  
12 as we evaluate things, listening to how you guys  
13 evaluate it. Because it's very important to listen to  
14 the different expertise come to the table to best  
15 inform this kind of testing. We have provided, as I  
16 said, public comments at all of the EPA SAPs. We're  
17 kind of like groupies I guess.

18 We present platforms, posters. I've  
19 been teaching, with the help of many of my colleagues,  
20 short courses, at least at two SETAC meetings a year  
21 on the endocrine system, and not only just -- you  
22 know, and the screens and the tests, and the  
23 regulations, and had to evaluate it from a risk-based  
24 approach.

1                   We also have done quite a bit of  
2 publishing. We started back in 2011, with a series of  
3 publications on developing a weight of evidence  
4 process to evaluate these screens and tests. And that  
5 was very important because we quickly recognized that  
6 we're going to have a lot of new data. I mean,  
7 there's approximately 89 endpoints in the original  
8 Tier 1 set of studies, the 11 set of studies. Trying  
9 to put all those together from a hypothesis-based  
10 perspective was going to be very important, and we did  
11 that.

12                   Because we're a consortium of the  
13 people that have done this testing, the other thing  
14 that we were able to do in 2015, was actually get a  
15 paper together by Adam Schapaugh, et al. on looking at  
16 just the normal control variability. We have all of  
17 these 11 screens and tests, and there was a lot of  
18 issues with failing when we were doing these because  
19 of not meeting the acceptance criteria and then having  
20 to repeat them. And so it was, I think, very  
21 worthwhile to come together and share the data to look  
22 at just what's the normal control variability.

23                   And then just recently we've moved on  
24 because there's been such a discussion about potency

1 and threshold. And my colleague Chris Borgert just  
2 had a paper accepted with revision in Archives of  
3 Toxicology looking at the potency threshold ER alpha  
4 agonist, and trying to set a threshold to say and to  
5 show that at some point there's just not enough  
6 activity to cause an effect.

7 We do fully support the development and  
8 use of high-throughput methods, and we really do  
9 commend the EPA on the amount of work and time that  
10 they've put into this, and care in making sure that  
11 these things are effectively vetted. And we do think  
12 ultimately it will be able to help them fulfill the  
13 statutory mandate to screen for potential endocrine  
14 activity, as well as reduce animal testing.

15 However, we really continue to push  
16 that scientific confidence in this methodology needs  
17 to continue to be established. We need to know that  
18 there's comparative responses, and I think we've seen  
19 a lot of that in the discussions that we've already  
20 had from yesterday. Being able to look at intra- and  
21 inter-lab repeatability/orthogonal assay comparison.

22 One thing that's very important for us,  
23 is if we're going to have to use these things, these  
24 methods, they need to be transportable and usable and

1 optimized. Just in the EDSP-21, which are the more  
2 endocrine-focused assays, there is, I think, four or  
3 five difference vendors. It's very difficult to then  
4 go to each one of these vendors to get these things  
5 done. EPA did a nice job of discussing optimization  
6 for the ER. We're anticipating you'll the same thing  
7 ultimately for AR. And so, it's a good way forward,  
8 but we just continue to be interested in this.

9           And they need to be fit for purpose,  
10 and we need to understand what that purpose is. And  
11 that's important because activity is not disruption.  
12 And, I think, that's something that unfortunately  
13 people lose sight of sometimes. And so, these Tier 1  
14 screens or bioactivity measures can't do more than  
15 identify the potential for endocrine activity at this  
16 time. And so they don't identify adverse effects.  
17 There is more that will go on after this, so, just to  
18 keep that in mind when you're evaluating these.

19           And that's important to also consider  
20 the fact that there is a definition of endocrine  
21 disruption that is pretty globally accepted, and  
22 that's from the World Health Organization IPCS in  
23 2002, where an endocrine disruptor is defined as, "an  
24 exogenous substance that alters function of the

1 endocrine system and consequently causes adverse  
2 effects in an intact organism."

3           What that says is that you have to have  
4 a causal link between the mechanism and the adverse  
5 effect to call something an endocrine disruptor.  
6 Otherwise, it's simply endocrine activity. And  
7 plausible effect is not good enough, or plausible  
8 link. Causal link needs to be what it is. And I  
9 think what we're doing here is talking about being  
10 able to look at the mechanism and then ultimately link  
11 that, at some point, with that adverse effect. And I  
12 think that's a really robust way to do it.

13           With that, I am going to move on to our  
14 next speaker, Chris Borgert. And I'll let him  
15 introduce himself.

16           **DR. CHRISTOPHER BORGERT:** Okay. Thank  
17 you. And, again, I appreciate the opportunity to  
18 speak here. I am the replacement speaker, so I'll be  
19 presenting slides that were originally prepared by  
20 Rick Becker. And I'm a bit handicapped in that  
21 regard, but I'll do my very best.

22           First of all, we do strongly support  
23 the pivot from the initial EDSP Tier 1 battery to  
24 these more advanced molecular and cellular screening

1 assays. It's a much more efficient way to generate  
2 mechanistic level data. And we do commend EPA in not  
3 only undertaking this effort, but making the assay  
4 information, the results, the models, the code, et  
5 cetera, publicly available; that enables independent  
6 evaluation and it enables independent use ultimately.

7 And I just want to pick up on a point  
8 that Dr. Mihaich made, is that going to five different  
9 vendors is much easier in a very, very large scale, as  
10 EPA has been undertaking. But when individual  
11 companies, for example, have smaller batches of  
12 chemicals, that become a much more onerous kind of  
13 effort and more expensive. And I'll get to that in a  
14 minute.

15 We also believe that it's very  
16 important that this transparency be continued and that  
17 the reporting continue for the other models -- we have  
18 every confidence EPA will do that -- because we need  
19 to establish confidence in these methods if everyone's  
20 going to continue to use them, and improve and enhance  
21 the use of them.

22 We have a recommendation in terms of  
23 improving and enhancing transparency for the new  
24 methods. And we would recommend the Scientific

1 Confidence Framework that was published by Cox, et al.  
2 in 2015. It has some guidelines for specifying things  
3 such as analytical data, the replicability of the  
4 techniques, the applicability of the domain,  
5 performance metrics of the prediction models, those  
6 sorts of things. And so we want to recommend that  
7 that process and that method for transparency.

8 Now moving on to this issue of the  
9 utility outside of EPA's program. Dr. Mihaich  
10 mentioned that we're delighted to see that EPA  
11 optimized the ER expert model. And I think there's a  
12 publication that if it's not out already, I know it's  
13 accepted for publication. It's been presented by EPA.  
14 Where that original set of 18 assays was compared  
15 against various subsets, and it was found that there  
16 were some subsets as small as four assays that were  
17 actually highly predictive of the overall 18-assay  
18 model.

19 We would encourage that that be done as  
20 soon as possible with the AR model. And I don't know  
21 if you would call it optimization or, you know,  
22 maximized efficiency. I'm not sure. We're using the  
23 term "optimized", but what we're talking about there  
24 is the greatest predictive value for the fewest number

1 of assays, because that just enhances the ability of  
2 others to use the system and generate more data on  
3 more chemicals.

4 We think that should be, if possible,  
5 an integral part of the effort. I'm not familiar with  
6 all of the techniques that EPA is using, but if it's  
7 possible, to conduct that optimization while the  
8 larger model is being constructed and at least doing  
9 it as soon after the larger model is developed, we  
10 would encourage that.

11 All right. I'm going to get now to  
12 some of the issues around potency. And EPA indicates  
13 there's an intent to match up bioactivity in the AR  
14 model, as they did in the ER model, with exposure for  
15 purposes of priority setting. The in vitro/in vivo  
16 comparison to convert these is important. And so  
17 there are some problems that arise from the use of  
18 these AUC curves because -- and I understand why EPA  
19 does this. The AUC curve is integrating the results  
20 of a number of different assays and EPA has well  
21 described that. But it does skew, in some regards,  
22 the relationship to potency for any one of the assays  
23 or any set of assays for a particular modality, for  
24 instance, ER alpha or ER beta.

1           And so if you're going to actually look  
2           at the strength of activity, in other words, the  
3           potency of a chemical and compare that to the  
4           potential exposure, it's important to have a somewhat  
5           accurate reflection of that potency. And while I  
6           understand that that's very difficult to do across a  
7           set of even 11 assays -- in fact, impossible to do --  
8           it's important that that information not be lost.

9           Currently, the AUC scores have to be  
10          reconverted. I'll go on to the next slide. Now, I  
11          think EPA answered -- these were provided in our  
12          written comments. And I didn't prepare these  
13          analyses, so I'm not really prepared to speak to  
14          exactly what was done, but I'll speak to the larger  
15          point.

16          I think EPA has described yesterday  
17          what the relationship is between the activity and the  
18          AUC curves. But I want to recall an example from the  
19          ER model, and then make the analogy to why the potency  
20          data is important. When EPA discussed, in their  
21          review document, the ER model, there was a false  
22          negative noted; and there is some discussion about the  
23          potency of that false negative.

1           And I've presented to this group -- I  
2 know the membership has changed somewhat. But I  
3 presented regarding the potency of that particular  
4 chemical, which was 10 to the minus 6 as potent as 17  
5 beta estradiol. It was even less potent than some of  
6 the non-aromatizable androgens.

7           And so, in the current EDPS-21  
8 dashboard, that chemical is a negative, but it was  
9 important to go back and understand the potency data  
10 of that chemical, especially -- and you can verify  
11 that it, in fact, doesn't produce adverse effects by  
12 an estrogenic mode of action. That kind of  
13 information, I think, needs to be systematically  
14 sought whenever the AUC data are interpreted,  
15 especially for some of the weaker chemicals.

16           We just want to encourage EPA to have  
17 some systematic way of doing that. Perhaps, they've  
18 implemented that already, but we think that's useful  
19 information that can come out of this but isn't  
20 immediately obvious from the AUC curves.

21           Then we can go to the next slide. And  
22 I'm going to gloss over these because I think I've  
23 already made the point here, and EPA has explained

1 some of the relationship. So, you can go to the next  
2 slide.

3 I think I've made the point that  
4 understanding that relationship between the AC50  
5 values and the AUC scores is important. Right now  
6 those details are not fully available, I don't  
7 believe; but to the extent that you can make those  
8 more clear and more available, that would be helpful  
9 and encouraged.

10 You can go to the next slide. Again,  
11 I'm going to pass over that slide and go to the next  
12 one. And pass over that one because I think EPA  
13 explained some of this yesterday.

14 Then the conclusions of my remarks are  
15 that we strongly support the pivot to this high-  
16 throughput model. We think that independent  
17 replication of these models is necessary, and so we  
18 commend EPA's efforts to make all of this transparent.  
19 I've discussed the relationship between the AUC and  
20 AC50 scores.

21 We would encourage some systematic use  
22 of the potency data that's available from the  
23 individual assays, in addition to the way the Agency  
24 is using the AUC scores. I recommended a Scientific

1 Confidence Framework as a core element. And we would  
2 strongly, then, support ultimately integrating these  
3 with exposure.

4 That concludes my remarks. And, again,  
5 I thank you for your attention.

6 **DR. STEVEN LEVINE:** Good morning,  
7 everyone. My name is Steve Levine. I'm a senior  
8 science fellow with the Monsanto Company. And I'm our  
9 environmental assessment strategy lead, but for about  
10 a decade I led our global eco-toxicology and risk  
11 assessment function.

12 And I'm going to be giving these  
13 comments on behalf of the Endocrine Policy Forum. And  
14 I wanted to thank the DFO and the panel for the  
15 opportunity to provide these comments on Charge  
16 Questions 2, 3, and 4. These are all on the H295R  
17 steroidogenesis assay, and I think these are going to  
18 generate some continued discussion on that assay.

19 I wanted to mention, I feel relatively  
20 close to this assay in that I had the opportunity to  
21 serve on the EDMVAC from 2004 to 2006. That was an  
22 advisory panel that was put together by EPA, after the  
23 EDSTAC, to help advise EPA on the development and

1 implementation of the Tier 1 batteries and eventually  
2 the Tier 2 tests.

3 And at our very first meeting, we made  
4 the move away from the mens' testes assay, which was  
5 the original assay looking at steroidogenesis. There  
6 were some pretty significant issues there, and EPA had  
7 recommended moving to the H295R. And we had some  
8 recommendations, at that time, which I'll come back to  
9 through the course of my talk.

10 Here's just a quick overview of what  
11 I'm going to cover. I need to cover a little bit  
12 about proper dose setting for endocrine screening  
13 assays. And this is going to focus on in vitro [sic]  
14 but these same comments really apply for in vitro as  
15 well.

16 I want to spend some time talking about  
17 the basic biochemistry of steroidogenesis, because I  
18 think that's going to serve as a good foundation for  
19 some of the comments I have and will complement what  
20 we heard yesterday. Then I want to quickly just go  
21 over a summary of the results, and spend a little bit  
22 of time on a case study to drive some points home, and  
23 then close with some recommendations.

1                   We had a lot of discussion yesterday on  
2 the outcomes of in vitro assays. And those can be  
3 either specific or non-specific, with specific or  
4 direct effects being biomolecular interactions against  
5 targets, such as receptors and enzymes or pathways,  
6 that occur below concentrations that disrupt normal  
7 cellular processes or cellular machinery.

8                   Non-specific, or really indirect,  
9 effects are interactions that can occur at levels that  
10 disrupt normal cellular processes or cellular  
11 machinery. And, again, that can occur in cell free  
12 systems or cell based systems. An example of in cell  
13 free systems could be pH effects, protein  
14 denaturation, such as receptors, changes to protein  
15 and protein interactions. An example of that maybe  
16 talking -- the coactivator recruitment assay we were  
17 discussing. And disruption and enhancement of binding  
18 kinetics.

19                   And I think the one I wanted to just  
20 say a few words about is protein denaturation, because  
21 that came up as an issue with the estrogen receptor/  
22 competitive receptor-binding assay. The Endocrine  
23 Program had requirements to test up to 1000  
24 micromolar. That's a relatively high concentration.

1           And that caused some issues with the  
2 validation work for the estrogen receptor assay, and a  
3 lot of false positives. So, EPA -- Susan Laws' group  
4 actually went back and did some secondary analyses;  
5 some secondary plots to really help distinguish  
6 between direct and indirect effects on that  
7 competitive receptor binding assay. And I think it's  
8 worth going back and looking at that paper because it  
9 had some very good approaches to really distinguish  
10 between direct and indirect effects.

11           For cell based systems, cytotoxicity or  
12 effects on the cell can be the result of DNA or lipid  
13 reactivity, disruption of proteins or cell membranes.  
14 The one I've highlighted here is mitochondrial  
15 disruption. That's what I'm going to spend some time  
16 talking about. And that's important because that's  
17 where steroidogenesis initiates.

18           We also can see oxidative stress,  
19 apoptosis. And I've also highlighted stress to the  
20 endoplasmic reticulum. That's important because once  
21 the first steps of steroidogenesis take place in the  
22 mitochondria, progesterone -- excuse me --  
23 pregnenolone goes out to the cytosol where additional  
24 biotransformations occur. Those P450s are anchored in

1 the smooth endoplasmic reticulum where they're  
2 associated with reductases, which supply them with  
3 reducing equivalence to catalyzed or  
4 biotransformations.

5           Impacts to the smooth endoplasmic  
6 reticulum could either increase or decrease enzymatic  
7 activity. And those of us that have done P450  
8 purifications really understand that; because you can  
9 have artifacts of P450 activity, either from increases  
10 or decreases in activity.

11           Because of the potential for non-  
12 specific effects, dose setting really takes on greater  
13 importance for specific endocrine MoAs, okay. We're  
14 not simply testing for a tipping point in normal  
15 cellular function with these H295R cells. Rather,  
16 we're looking for a specific effect on  
17 steroidogenesis.

18           In this endocrine battery, we're  
19 testing specific hypotheses. We're testing for an  
20 adverse effect through an endocrine mechanism, not  
21 simply an adverse effect.

22           Here's just a quick overview of the  
23 basic steps of steroidogenesis. And, as I said, this  
24 is going to be important as a foundation for some of

1 the comments that I have to come. Steroidogenesis  
2 begins when cholesterol is shuttled from the cytosol  
3 to the mitochondria, and that's done by the StAR  
4 protein, or the steroidogenesis acute regulatory  
5 protein.

6 And then cholesterol comes through the  
7 mitochondrial membrane; so it goes from the outer to  
8 the inner mitochondrial membrane where the  
9 biotransformations can start. What I have highlighted  
10 here in red, is it's essential for the mitochondrial  
11 electrochemical gradient to be functional for this to  
12 happen. If that gradient gets shut down,  
13 steroidogenesis gets shut down. The StAR protein  
14 cannot bring cholesterol through that mitochondrial  
15 membrane.

16 When the mitochondrial membrane is  
17 functioning, the StAR protein is internalized. It's a  
18 37 kilodalton protein. It comes into the  
19 mitochondria. It's cleaved and inactivated to a 32  
20 kilodalton protein. That's the inactivation step.

21 And then you go from pregnenolone to  
22 progesterone, then out to the cytosol where the  
23 additional steps in biotransformation, or steroid  
24 synthesis, take place. And, again, there can be

1 stress to the smooth endoplasmic reticulum. That's  
2 why the cytotoxicity assessment is so important.

3 I wanted to give a quick overview of  
4 the result. And my first impression, and after  
5 working in this field for years, is it was a  
6 relatively high hit rate. Out of the approximately  
7 2,000 chemicals that were tested, 600 odd chemicals  
8 had concentration responses. That's a third of the  
9 chemicals. That's a high hit rate. Yes, there were a  
10 number of compounds in the library that had specific  
11 modes of action for inhibition of steroidogenesis.  
12 You know, we heard about the triazoles, the  
13 imidazoles, that's a well-understood mode of non-  
14 competitive inhibition.

15 We saw positive responses for greater  
16 than or equal to four hormones for 500 chemicals. We  
17 had a summary of that in that blue box. Those were  
18 the ones that went into concentration response. From  
19 the Venn diagram, we could also pull out that about  
20 300 chemicals, or about 15 percent of the chemicals,  
21 were positive for at least one hormone in four hormone  
22 classes, okay. So, that's androgens, estrogens,  
23 progesterone, glucocorticoids.

1           To me, initially, that looks like a  
2 non-specific response. It's hitting something very  
3 early in the pathway; and that could be mitochondrial  
4 toxicity. But that was a stand out for me.

5           The high hit rate could be an outcome  
6 of cell stress and an effect of mitochondrial  
7 function. We saw the limit of greater than or equal  
8 to 30 percent cytotoxicity. And I thought maybe  
9 initially that was the reason. And after looking at  
10 the data more closely, and particularly the  
11 presentation, the supplementary slide that was  
12 presented, I don't believe that's the case.

13           And 30 percent is what I'll call the  
14 LOD, the limit of detection, for that assay, and that  
15 was explained well by the standard deviation  
16 assessment. But it could also be related to an  
17 insensitive cytotoxicity assay. That MTT may not be  
18 the right assay for this H295R steroidogenesis assay.  
19 And the reason why I'm saying that is, yes, the MTT  
20 does look at mitochondrial function, but only about 50  
21 percent of the activity comes from the mitochondria.  
22 The other half comes from NADPH oxidoreductase as in  
23 the cytosol. So, it has some specificity, but it may  
24 not be the right cytotoxicity assay.

1                   And the white paper recommended that  
2 markers of mitochondrial toxicity need to be  
3 incorporated in a systematic and quantitative matter.  
4 And that's called out on pages 106 and 109. And I  
5 think that's an important point. The other point that  
6 was raised, was perhaps in the future they could look  
7 at movement of cholesterol from the cytosol to the  
8 mitochondria, okay.

9                   That's not a trivial thing to do. You  
10 can look for the protein in the cytosol. You can also  
11 look for it in the mitochondria. If you see it  
12 accumulating as a 32-kilodalton protein, you know  
13 steroidogenesis is functional. Again, you can do it.  
14 I've done it, but it's not trivial.

15                   This is an example of an effective  
16 steroidogenesis assay. And this is from a paper I  
17 published back in 2007, and had done the work several  
18 years before that. We're working with the JC-1 assay  
19 very early in its development, and this is an assay  
20 that looked specifically at the electro chemical  
21 potential in the mitochondria. And I was working with  
22 Vassilios Papadopoulos over at Georgetown, who's  
23 validating this assay in his lab.

1                   And this is a dye. It's cationic. And  
2                   it gets pulled into the mitochondria when the  
3                   mitochondria have a functional electrochemical  
4                   gradient, okay. What we're seeing here, which I'm  
5                   pointing to, you're seeing mitochondria. These are  
6                   Leydig cells, which are frequently used for  
7                   steroidogenesis assays.

8                   You're seeing punctate foci. That is a  
9                   well-functioning cell. This is where we treated them  
10                  with benzalkonium chloride, a surfactant, and it's got  
11                  membrane activity. And it had a micromolar, where  
12                  we're seeing swelling and bursting of mitochondria.

13                  And this is what it looks like when  
14                  there's an effect on mitochondria electrochemical  
15                  gradient with JC-1. So, this is a candidate assay,  
16                  and there's been many improvements on JC-1. There's  
17                  JC-10. There's other ones out there.

18                  Now I want to just jump into a case  
19                  study. Go through this pretty quickly. This is with  
20                  Anthralin. This is one of the 2,000 compounds that  
21                  was screened through the steroidogenesis assay, okay.  
22                  What you're looking at there is the MTT results. This  
23                  is from Supplementary 2. And we're seeing no impact  
24                  on mitochondrial function, up to a hundred micromolar,

1 even though this compound is known to accumulate and  
2 interfere with mitochondrial energy production. We're  
3 not picking up an effect at a hundred micromolar.  
4 That's a whopping dose. The pharmaceutical industry,  
5 when they screen, they never go above 30 micromolar  
6 because of cytotoxicity issues. So, this is a high  
7 dose.

8 What you're seeing here on the right is  
9 the analysis of all 11 hormones. This is the  
10 Mahalanobis distance. This is from Supplementary 7.  
11 We're seeing a response at 33 and 100 micromolar.  
12 That's a result of an impact on eight of the eleven  
13 hormones.

14 Anthralin appears to be a clear  
15 positive for disruption of steroidogenesis by looking  
16 at this. However, my question is, is this a direct or  
17 an indirect effect on steroidogenesis, this response  
18 we're seeing at the two highest doses.

19 We were able to find some JC-1 data  
20 that was done on Anthralin. This was published in a  
21 paper from 2012 in FASEB Journal. And what we're  
22 showing here, again, is this is the red. This is  
23 accumulation in the mitochondria. We're seeing a dose  
24 response. And in about one to five micromolar, we're

1 seeing disruption of the electrochemical gradient.  
2 The nice thing about JC-1, is if it doesn't get into  
3 the mitochondria, it gets cleaved from an aggregate  
4 into a monomer and you see green fluorescent. You can  
5 do somewhat of a mass balance. You can see as the red  
6 goes down, the green goes up. This is at a level far  
7 below where we're seeing an impact on steroidogenesis  
8 in this assay.

9 I wanted to mention that a lot of  
10 articles have come out on the ToxCast program.  
11 They've been very efficient and very prolific in  
12 publishing their work. There's dozens, dozens of  
13 articles out there. And one of the recent ones from  
14 Imran Shah looked at HepG2 cells, okay. They used  
15 high-content imaging to evaluate cellular phenotypic  
16 changes and to assess cellular state.

17 The purpose of this was to see can we  
18 equate cytotoxicity in vitro in HepG2 cells with a  
19 point of departure in in vivo study. It was a scoping  
20 exercise there. But they included the MitoTracker as  
21 one of the biomarkers that they evaluated in that  
22 study, okay. And MitoTracker, like I said, is very  
23 similar to JC-1. And they used this combined data to  
24 come up with a critical concentration, that tipping

1 point that I talked about. And I'll show that in a  
2 later slide.

3 But this is just pulling out the  
4 MitoTracker paper from the Shah versus Anthralin's  
5 steroidogenesis results. And what we're seeing here  
6 is an impact on mitochondrial function concomitant  
7 with an effect on steroidogenesis. So, the  
8 sensitivity is close. Granted, this is HepG2 cells.  
9 They're not H295R cells. But typically, you see some  
10 close cytotoxicity.

11 The JC-1 that I showed previously, that  
12 was with keratinocytes after one hour. And, again, I  
13 think that could be looked at as fairly  
14 representative.

15 This is pulling some of the data from  
16 Supplementary 7, where there's the Mahalanobis  
17 distances for the 600 odd that had dose response  
18 studies. We're looking here at chlorpropham, and what  
19 we're seeing here, this tipping point, this critical  
20 concentration, is below or just below where we're  
21 seeing a response on steroidogenesis, okay. That's  
22 interesting. That's telling me that this could be a  
23 non-specific effect. Chlorpropham is a herbicide.

1           In the Judson paper that was spoken to  
2           yesterday, that looked at the cytotoxicity measures,  
3           the 35-odd cytotoxicity measures to come up with Z  
4           scores, the herbicides were the good actors in terms  
5           of the classes of chemistry, when you look at the  
6           data. And that was one of the conclusions of the  
7           paper. It's because they target systems that are  
8           conserved in plants and generally not in animals. And  
9           that's why they were good actors.

10           Here's Volinanserin. This is a  
11           serotonin inhibitor. This critical concentration  
12           comes in right before the dose response. Here's  
13           another one, Isazofos. This is an OP, so it's an  
14           acetylcholinesterase inhibitor. Again, it comes in  
15           right below.

16           This is Propylparaben. This is a  
17           compound with a long history of safe use. This is in  
18           our shampoos. This is a preservative in foods. But  
19           it can be cytotoxic at high levels. Ten micromolar is  
20           a relatively high concentration, so we're seeing this  
21           effect.

22           And I didn't cherry-pick this data.  
23           These are just four examples; you can go back and find  
24           many more. And the reason why you can do this with

1 the ToxCast Data is because these groups pulled from  
2 the same chemical library. So, there's a big overlap  
3 between what was looked at in the Shah paper and what  
4 was looked at in the steroidogenesis assay.

5 Here are a few recommendations. I  
6 think the program should compare MTT with assays that  
7 specifically assess mitochondrial membrane potential,  
8 before the assays used for prioritization. And,  
9 again, this is based on my experience in some of the  
10 data that I've shown you. And I think there's an  
11 opportunity to do this when the assay is reinstalled.

12 We heard the work was done at CeeTox.  
13 CeeTox is out of business. There's nobody running  
14 this assay right now. It has to be reinstalled  
15 somewhere, revalidated somewhere. So, there's an  
16 opportunity to go back and do this. And the white  
17 paper, again, recommends a quantitative method to  
18 assess mitochondrial toxicity.

19 And perhaps the cytotoxicity thresholds  
20 can be lowered with a better assay. The nice thing  
21 about MitoTracker and JC-1, and the likes of that, is  
22 you can see it. You can look at it quantitatively.  
23 You can look at it qualitatively. It's a nice  
24 representation. And the high-image capacity now will

1 allow you to take pictures of those wells and actually  
2 see what's going on.

3 Another important point, and this was  
4 discussed yesterday a couple of times, and that's  
5 characterization of the steroid hormone levels and  
6 kinetics should be done to inform a pathway analysis,  
7 okay. That's really important if we're going to use  
8 this to inform an AOP, we have to understand that.

9 And this was a comment that was made at  
10 the very first EDMVAC meeting when EPA brought this to  
11 the panel. Bill Kelse (phonetic) made this comment --  
12 who's done a lot of work in this area. This is an  
13 adrenal cell line. It's being tricked into doing the  
14 steroidogenesis pathway, all the way through estrogen  
15 and testosterone with a unique media; and it's being  
16 induced with Forskolin to achieve the analysis of all  
17 those hormones for up or down.

18 And I think that that's necessary, but  
19 sometimes that approach can produce artifacts as well.  
20 I think we really need to go back and look at that.  
21 We really need to do that characterization before this  
22 assay is done.

23 My other recommendation is to initially  
24 only evaluate E and T for the EDSP purposes. And if

1 there is an effect on E and T, then you could perform  
2 pathway analysis. Right now we don't have an in vivo  
3 validated assay to go back and look at glucocorticoid  
4 responses. Yes, there's assays out there, but they  
5 have not been validated; things like the ACGH  
6 challenge test and other assays like that. And those  
7 are very difficult assays to do, if you've ever been  
8 involved with those.

9 I'm going to close with this final  
10 slide. And this is on the Statistics Charge Question  
11 4. Mahalanobis distance is widely used in cluster  
12 analysis and various statistical classification  
13 techniques. It's frequently used to detect outliers  
14 that violate multi-variant normality. That's how you  
15 typically see it in the literature.

16 And I agree with the comment yesterday,  
17 that we probably should go back and check for  
18 normality. I think that's an important step. I think  
19 it can be used as an efficient approach, but maybe not  
20 as the only approach. A lot of people who teach this  
21 and use this approach don't use only Mahalanobis  
22 distance, they use another technique along with this,  
23 and there's other very similar techniques. And it

1 might be worthwhile just to go back and look at one of  
2 those techniques.

3 And as the last comment, EPA needs to  
4 demonstrate that the nominal Type 1 error rate of 0.01  
5 should be confirmed so that the false positive rate is  
6 really 0.01. And I think 0.01 is a fair level.  
7 That's a typical alpha level used for outlier test  
8 normality test, not atypical.

9 I'll close there and pass it on to  
10 Brandy.

11 **DR. BRANDY RIFFLE:** Okay. I'm Brandy  
12 Riffle. I'm a regulatory toxicologist at BASF. And I  
13 will be presenting the comments on the Charge  
14 Questions 5 and 6 for the thyroid framework, and on  
15 behalf of the Endocrine Policy Forum.

16 I'd like to start off by repeating our  
17 commendation for the Agency and moving forward with  
18 this suite of in vitro assays; to start to prioritize  
19 chemicals for further additional testing for their  
20 inability to act with the HPT axis.

21 And to that end, I'd like to state that  
22 I think we can all recognize that the framework  
23 presented in the white paper is a bit of a work in  
24 progress at this point. Thus, I'd like for you to

1 take my comments, and our comments from the EPF, as  
2 suggestions to both the Agency; and to you as the  
3 panel, to work together, maybe to refine this  
4 framework so that it can become a bit more fit for its  
5 purpose, which is prioritization for the need for  
6 additional screening for interactions.

7 As we discussed with Charge Question  
8 Number 5, it's the completeness of the MIEs, the KEs,  
9 and adverse outcomes within the thyroid AOP. And  
10 Table 4-1 gave 15 molecular initiating events for  
11 thyroid perturbations. What we would like a little  
12 bit more discussion on is the relevance to each of  
13 these to toxicant effects in both humans and wildlife.

14 And though this is a low priority  
15 target for the Agency, pendrin, while it has effects  
16 in human pathophysiology, it actually has no known  
17 toxicant effects. So, maybe if we could discuss a bit  
18 more the relevance of each of these. And given these  
19 multiple MIEs, we'd like to understand a bit more how  
20 the Agency will begin to prioritize chemicals for  
21 screening and testing. Will they be using different  
22 potencies across the different MIEs in order to  
23 thoroughly capture everything?

1                   Moving on again with Question 5. There  
2 has been some discussion on increased hepatic enzyme  
3 induction with the clearance of T4 and thyroid  
4 hormones. And we'd like to point out that increased  
5 hepatic enzyme induction is not an endocrine-specific  
6 MIE. It's a not specifically adverse, and it's a  
7 common finding in rodent toxicity studies, especially  
8 those done with high dose levels using the MTD  
9 approach.

10                   Rodents also appear to be more  
11 sensitive to this MIE than humans, due to their  
12 different thyroid capacities. And interestingly,  
13 enzyme induction can be seen with a number of  
14 compounds that have no indication of specific thyroid  
15 or endocrine disrupting potential, such as the dietary  
16 constituents of cruciferous vegetables and one of my  
17 favorites, coffee.

18                   Given these realistic exposures to  
19 chemicals, we'd like to point out that it's unlikely  
20 that toxicants would produce enough T4 clearance to  
21 produce deleterious effects in an animal. And,  
22 therefore, we question how the Agency will verify the  
23 relevance of this particular MIE for thyroid  
24 disruption.

1                   Moving on with Question 5 where we  
2 discuss were there any missing pathways, adverse  
3 outcomes, or other AOP-related information.

4                   As the panel and the Agency is aware  
5 of, the thyroid is an active area of research. And  
6 while T4 is a convenient measurement for researchers,  
7 the critical parameter is actual tissue levels of T3.  
8 Therefore, we'd like to suggest that the Conceptual  
9 Framework discuss factors that regulate tissue-  
10 specific thyroid hormone levels, particularly in  
11 fetuses, since this is a critical area. And these  
12 include increasing thyroid hormone synthesis release,  
13 blood transport protein, changes in tissue  
14 transmembrane transporters, altered intra-tissue  
15 deiodinase levels, and again, the metabolism or  
16 elimination of thyroid hormones.

17                   Thus far in the white paper, we've had  
18 a limited amount of discussion on species-specific  
19 differences. And like Dr. Bever pointed out  
20 yesterday, there is an evolutionary conservation of T3  
21 and T4 across species. However, there are  
22 differences, within these species, in actually the  
23 feedback mechanisms for the HPT axis.

1                   A specific example of this is  
2 Corticotropin releasing factor, rather than TRF  
3 stimulates the thyroid pathway in amphibians. And  
4 these sort of species differences need to be accounted  
5 for. Additionally, even between mammals, we have  
6 differences in thyroid homeostasis, such as the  
7 differences between rats and humans and their ability  
8 to handle excess thyroid hormone.

9                   These sort of species-specific  
10 differences really do need to be accommodated in this  
11 framework in order for it to be successful.

12                   With Charge Question Number 6, the  
13 panel was asked to discuss the importance of MIEs and  
14 biological and environmental relevance.

15                   Table 4.2 organizes the endpoint data  
16 into two columns. You either have thyroid-specific  
17 endpoints or thyroid-related endpoints. And it's  
18 critical for us to point out here, and for the panel  
19 to recognize, that some of the thyroid-specific  
20 endpoints are subject to other stressors and  
21 generalized stress. And they include the decrease in  
22 thyroid hormones levels in rats, and the decrease in  
23 the developmental stage of the AMA. These two may not

1 be entirely diagnostic of anti-thyroidal activity, but  
2 maybe an indication of another confounder.

3 Other endpoints, such as asynchronous  
4 development in the AMA, were not included in the  
5 table. And the comparative thyroid assay was omitted.  
6 Therefore, we'd like to propose that Table 4.2 be  
7 revised to reflect this information, because the EPA  
8 white papers are used sometimes by other stakeholders.  
9 And it's important for the information to be clear and  
10 scientifically accurate in the papers.

11 As the panel pointed out yesterday  
12 several times, I think we had some great discussion,  
13 but we additionally wanted more information on the  
14 rationale for the ranking of the assays. But I think  
15 that discussion was held yesterday.

16 Moving on, we have the cell-free  
17 transport protein-based assays. And here we have a  
18 relatively high percentage of hits of the tested  
19 materials. We had 55 percent positive for interaction  
20 with TTR, and 40-percent positive interaction for  
21 thyroid binding globulin. And the question that we'd  
22 like for the Agency to consider, is whether they  
23 believe that greater than 50 percent of the chemicals  
24 that they tested had a meaningful impact on the

1 transport of thyroid hormone, that would produce  
2 physiologically relevant changes. If not, we want to  
3 understand how the Agency are going to use this data  
4 and interpret them correctly.

5 Again, as some of the panel members and  
6 the Agency knows, the thyroid, with chemicals that  
7 interact with it and affect it, it's sometimes  
8 difficult to identify specific MIEs in the pathway.  
9 Many of the agents, that alter the HPT axis, produce  
10 similar science in vivo, together, such as decrease in  
11 T4 and T3 levels, and the subsequent increase in TSH;  
12 changing in thyroid weights and the cell populations  
13 within the thyroid.

14 Therefore, we want to better understand  
15 how the Agency is going to validate these assays,  
16 given the limited knowledge of specific thyroid MIEs  
17 for many of these chemicals.

18 The simple overall conclusion is that  
19 the thyroid, and chemicals that interact with the  
20 thyroid, is a very complicated thing. And, therefore,  
21 the framework is going to need to be complicated and  
22 well thought out in order to be successful. We'd like  
23 to ask that once the HTP assays and a thyroid model is  
24 developed, that the Agency come up with an AOP-based

1 prioritization framework and a decision tree that  
2 could be fully evaluated by yet another SAP.

3           On the bright side, we, again, want to  
4 voice our support for EPA's pivot from the EDSP Tier 1  
5 testing battery to ToxCast Tox 21 high-throughput  
6 screening methods; that way that we have a more  
7 efficient priority setting for chemicals that need  
8 additional testing. We really commend EPA's use of a  
9 systems-based model that takes into account many  
10 MIE's, life stages, different species, additional  
11 information, and critical parameters like  
12 toxicokinetics and actual exposure information.

13           We also support EPA's recognition that  
14 a single, positive high-throughput assay does not lead  
15 to a Tier 1 in vivo test orders. And the EPA, again I  
16 will repeat, is to be commended for their  
17 transparency. They have ensured this entire time that  
18 the data is publicly available. We can see their  
19 models, their codes, and everyone will have a chance  
20 to work with it and really go through it.

21           And we'd like to thank them for that  
22 and thank you guys for your time today.

1                   **DR. JAMES MCMANAMAN:** Thank you. Are  
2 there questions for these presenters? Okay. Thank  
3 you very much. I have Dr. Esther Haugabrooks.

4                   **DR. ESTHER HAUGABROOKS:** Good morning.  
5 My name is Esther Haugabrooks, and I would like to  
6 start my comments.

7                   Good morning, Dr. Peterson, and the  
8 FIFRA SAP and colleagues. The Physicians Committee  
9 for Responsible Medicine is a national, nonprofit  
10 organization of over 150,000 doctors and laypersons,  
11 advocating for preventative medicine, good nutrition  
12 and ethical standards in medical research and  
13 toxicology testing. Thank you for the opportunity to  
14 comment here this morning.

15                   According to the EPA's website, which  
16 we also discussed a little bit about this morning, the  
17 goal of the endocrine disrupter screening program is  
18 to screen chemicals rapidly for bioactivity and  
19 several endocrine pathways, while reducing the use of  
20 animals. It's commendable that the Agency has  
21 identified this need and provided resources to move  
22 away from in vivo testing, to smarter, better and  
23 quicker assessments, which is the purpose of why we  
24 are here today.

1                   However, the rate of progress needs  
2 rapid improvement to realize this goal, while  
3 capitalizing on ever-changing science and technology.  
4 While progress since the EDSP 21 pivot has been  
5 promising, in the last two years, we have seen a  
6 disturbing lack of significant progress in  
7 implementing additional new tools developed by ORD at  
8 the regulatory level.

9                   It is unclear how OSCP is facilitating  
10 necessary and important connections between ORD and  
11 OPPT, related to EPA's responsibilities under the  
12 EDSP. As it evaluates new tools, we urge the Agency  
13 to consider the validation status of the current Tier  
14 1 in vivo assays.

15                   When the Tier 1 battery was created,  
16 validation data for the pubertal assays were limited;  
17 and the Hershberger, these assays performed poorly.  
18 We asked, please do not set the bar higher, for more  
19 advanced mechanistic pathway models based on human  
20 cells, then it was for the assays that these models  
21 are replacing.

22                   Under the EDSP, the Agency has always  
23 taken an AOP-grounded approach, and we encourage the  
24 Agency to continue in this direction. However, it is

1 not necessary to include every single molecular-  
2 initiating event, and key event, from all possible  
3 pathways in order to develop a protective endocrine  
4 screening system.

5 We need to learn from the information  
6 that has already been collected in this program, and  
7 focus efforts on what is needed to make a regulatory  
8 decision. Concerning the Agency's proposed high-  
9 throughput computational model for the androgen  
10 receptor pathways, we support the proposal, but are  
11 extremely troubled that the Agency is only proposing  
12 to replace a Tier 1 in vitro assay with this model.

13 It is perplexing that despite a robust  
14 AR model, when compared to a very limited validation  
15 of the Hershberger, that more progress has not been  
16 made towards replacing the Hershberger. We commend  
17 the Agency for making a clear statement back in 2014,  
18 that the 18 ER high-throughput assays, and an ER  
19 pathway model, would be accepted as an alternative to  
20 the three Tier 1 assays, which included the  
21 uterotrophic in vivo assay.

22 Hopefully, as a result of this current  
23 SAP, we look forward to the adoption and use of the AR  
24 model in place of the Hershberger assay. We ask the

1 Agency to devise a public plan to add another layer of  
2 transparency, outlining a roadmap to the placement of  
3 the Hershberger assay in the next fiscal year, along  
4 with its progress to develop an anti-androgen database  
5 similar to the work that was done with the  
6 uterotrophic database.

7 We encourage the Agency to participate  
8 extensively and, where they already are, continue  
9 participating in international forums such as the  
10 OECD. For example, the Agency's successful work on  
11 the ER model could have been proposed as a test  
12 guideline, harmonizing requirements to the benefit of  
13 other organizations which submit information to the  
14 Agency as well as other regulatory bodies. The  
15 Agency's work with the AR model can also be shared as  
16 a case study with international bodies.

17 As the Agency learns how to interpret  
18 and use data from new methods and approaches, these  
19 learning can be passed along to other countries so  
20 that international harmonization is achieved quicker.  
21 Likewise, concerning progress of the development of  
22 high-throughput model for steroidogenesis, we support  
23 the use of these models and hope that they will be

1 implemented to accelerate the pace of screening, while  
2 reducing animal testing and screening costs.

3 Furthermore, we appreciate the Agency's  
4 effort to compare the high-throughput H295R assay with  
5 the OECD test guideline 456. Yet again, we stress the  
6 need for the Agency to undertake the challenge of  
7 replacing in vivo test with new in vitro and  
8 computational approaches, rather than in vitro with  
9 more in vitro. And when this is done, to share their  
10 work in international forums.

11 This is another chance where we can ask  
12 the Agency to develop and publicly share a timeline  
13 for the development of models to replace other Tier 1  
14 in vivo assays. Dr. Schappelle talked about it's  
15 going to take time; and so, we would like to see what  
16 that kind of time looks like. These Tier 1 in vivo  
17 assays would be such as the pubertal assays and the  
18 fish short-term reproductive assays.

19 Lastly, proposing an adverse outcome  
20 pathway-based framework concerning charge questions 5  
21 and 6. For screening chemicals, it's a strong step  
22 towards 21st century science. Illuminating adverse  
23 outcome pathways for potential thyroid disruption is  
24 not only a way to move towards predictive science, but

1 also a way to harmonize methods and terminologies  
2 across international bodies. We encourage the  
3 continued development of MIEs and other KEs in  
4 language that could potentially be added to the AOP  
5 wiki.

6 It is critical that while developing a  
7 thyroid framework, the Agency avoid the compulsive  
8 need to be comprehensive. Gathering much data as  
9 possible has its place within research and is  
10 interesting to other stakeholders; however, we charge  
11 the Agency to continue to focus only on the  
12 information that is necessary and sufficient to inform  
13 regulatory decisions on thyroid pathways interactions.

14 In general, we have supported the EDSP  
15 21, and are steadfast in doing so; but are just a  
16 little concerned with the apparent lack of progress of  
17 regulatory impact that OSEP seems to have made since  
18 2014. It is important that continued development of  
19 faster, quicker and more reliable assessment is a  
20 mainstay within the EDSP program. And that those  
21 developments translate into changes in the Tier 1 and  
22 Tier 2 assays.

23 Therefore, it is critical that the EDSP  
24 remains a flexible framework to adapt emerging

1 technologies and key advances in science, without  
2 becoming stuck in endless validation exercises. We  
3 encourage continual engagement with interested  
4 stakeholders, in addition to devising a strategic plan  
5 that will track progress and document next steps for  
6 immediate replacement of the Hershberger and other  
7 Tier 1 in vivo assays.

8 Thank you for your time and thank you  
9 for listening. And thank you for consideration of  
10 these comments.

11 **DR. JAMES MCMANAMAN:** Thank you.

12 Questions for this presenter? Marion?

13 **DR. MARION EHRICH:** Okay. You seem to  
14 be really concerned about too much validation? You  
15 have to know if something works or doesn't work.

16 **DR. ESTHER HAUGABROOKS:** I don't think  
17 the concern is necessarily on validation, as  
18 validation being bad. But just the standards, that  
19 we're placing against alternative methods, not be  
20 higher than what we've done for in vivo methods.

21 **DR. MARION EHRICH:** Okay.

22 **DR. JAMES MCMANAMAN:** All right. Other  
23 questions? Rebecca?

1                   **DR. REBECCA CLEWELL:** I never know  
2 what's appropriate to ask. That brings up a good  
3 point. Maybe during discussion, can the Agency  
4 respond to these things, or no?

5                   **DR. JAMES MCMANAMAN:** If it's related  
6 to the charge question. This doesn't sound like this  
7 particular question was.

8                   **DR. REBECCA CLEWELL:** Okay. I will  
9 hold my question.

10                  **DR. JAMES MCMANAMAN:** All right. Other  
11 questions? Okay. Thank you very much.

12                   At this point, I think it's time to  
13 take a break. Is Catherine Willett in the room? She  
14 was scheduled to present.

15                  **DR. CATHERINE WILLETT:** Yes.

16                  **DR. JAMES MCMANAMAN:** You are here.  
17 Okay. Let's do a break first. We'll be back in  
18 fifteen minutes.

19

20                               **[BREAK]**

21

22                  **DR. JAMES MCMANAMAN:** Dr. Willett, if  
23 you are in the room. Thank you for accommodating the  
24 break.

1                   **DR. CATHERINE WILLETT:** Thank you very  
2 much for the opportunity to comment. My name is  
3 Catherine Willett or Kate Willett and I'm here  
4 representing the Humane Society of the United States.  
5 I guess you could consider me also an EDSP groupie  
6 since I've doing this now for over ten years. And if  
7 I start to ask for signatures or autographs or  
8 something, then put me in a closet or something.

9                   Yes, we very much appreciate this  
10 opportunity to comment. We created some written  
11 comments which were passed around this morning. What  
12 I'm going to do is just read some highlights of these  
13 comments. I'm not going to read every gritty detail.  
14 There's only a couple of things that are probably new  
15 conceptually from what we've already heard in various  
16 discussions and comments.

17                   Some of the things that I have  
18 questions about in here were discussed this morning in  
19 the early discussion. That was interesting and  
20 clarifying. Some of this has already been addressed.

21                   The HSUS, which is the nation's largest  
22 animal protection and scientific advocacy  
23 organization, we commend and support EPA's continued  
24 commitment to reduce and replace animal testing, while

1 improving the EPA's capacity to assess chemicals for  
2 potential endocrine activity. We are, however,  
3 disappointed in the slow progress that would replace  
4 the Hershberger. And I'll talk a little bit more  
5 about that in a second.

6 It's also concerning that much of the  
7 discussion suggests that it's necessary to develop or  
8 include in vitro assays for each and every of the  
9 animal endpoints, or key events in a pathway related  
10 to that endpoint. The critical question really is  
11 whether any potentially active chemicals would be  
12 missed, given the currently or foreseeably available  
13 spectrum of assays that are currently in the Tier 1  
14 battery.

15 EPA's general approach to developing  
16 predictive models based on the ToxCast and Tox21  
17 assays, include both productive and some potentially  
18 problematic attributes. There are a number of very  
19 productive attributes which include characterization  
20 of reference chemicals, which are critical for  
21 characterizing the assays as well as the model  
22 performance.

23 The curation of existing animal data to  
24 document the historical sensitivity specificity and

1 variability of that test. And comparison of the model  
2 with existing data for both in vitro and in vivo as  
3 was done with the ER predictive pathway.

4 The inclusion of caution flags to  
5 identify potentially problematic run data, including  
6 cytotoxicity. And allowing for inclusion of potential  
7 exposure information or modeling as part of a  
8 prioritization process.

9 Potentially problematic attributes  
10 include the choice of assays by availability rather  
11 than by design. And I'll talk a little bit more about  
12 that in a minute. The lack of evaluation of  
13 individual assays for performance and relevance. The  
14 lack of optimization of the overall prediction model  
15 to include only those assays necessary to maximize  
16 performance of the model. That was touched upon a bit  
17 by the first group of commenters.

18 Just as a reminder, the point of a  
19 screening battery is to flag chemicals of potential  
20 concern and not necessarily to characterize that  
21 concern. Acknowledging that screens should be  
22 designed to gain as much characterization information  
23 as practical and avoid excessive false-positives. In  
24 that vein, for future assay prioritization, it would

1 be most efficacious to identify and develop assays  
2 informed by critical key events that can cover a broad  
3 spectrum of biology in a limited number of assays.  
4 I'll mention that again in a second.

5 EPA is to be commended for exploring  
6 some of the potential strengths of the high-throughput  
7 assay format by including assessment of multiple  
8 steroid hormones in the HT steroidogenesis 295R assay.  
9 While the data analysis is at early stages, this type  
10 of thinking is needed to develop assays that address  
11 multiple AOPs involved in complex biological outcomes.

12 Regarding the thyroid pathway, EPA is  
13 also commended in requesting for expert input into the  
14 completeness of the current understanding of thyroid  
15 related pathways. To improve the predictive capacity  
16 of HT approaches, it's important to capture a full  
17 range of the relevant biology. Expert input is also  
18 critical for ensuring buy-in into the process of AOP-  
19 supported assay development and assessment.

20 EPA would also benefit from involving  
21 international experts in this conversation, who are  
22 engaged in similar processes, through the OECD or the  
23 European Commission. There are more specific comments

1 with respect to each charge questions. And I'll just  
2 briefly highlight some of those.

3 In terms of the first charge question  
4 regarding whether the HT AR pathway model could  
5 replace the single AR binding assay. Briefly, EPA has  
6 addressed the 2014 SAP suggestions by expanding the  
7 characterized list of reference chemicals, and by  
8 refining the predictive model. And has presented  
9 supportive evidence that the model predictions could  
10 be used in place of the current Tier 1 AR binding  
11 assay. While in addition, providing information about  
12 potential agonist versus antagonist activity.

13 However, a few questions and concerns remain about the  
14 usefulness of this model.

15 Overall, the AR model performs as well  
16 or better than the AR binding assay alone. And unlike  
17 the AR binding assay alone, a benefit of the  
18 predictive model is that false-positives, due to  
19 cytotoxicity or nonspecific interference, can largely  
20 be identified via comparison with the ToxCast Tox21  
21 cytotoxicity or proliferation assays statistical  
22 comparison between the model assays and a confirmatory  
23 assay to flag false-positive agonist activity.

1                   Even though the AR model compares  
2 relatively favorably to the manual AR binding assay,  
3 the model relies on a large number of assays and  
4 statistical analyses, and seems excessive in order to  
5 replace one in vitro method.

6                   At the SAP meeting to review the ER  
7 model three years ago, and in several presentations  
8 since, EPA has indicated that there is an ongoing  
9 review of the performance of the Hershberger Assay.  
10 We were a bit disappointed to find that the whitepaper  
11 mentions that this review is still ongoing and not  
12 part of the material for this SAP.

13                   It's disappointing to see that EPA's  
14 predicting that even more HTS in vitro assays will be  
15 required to replace the Hershberger, since the current  
16 selection does not cover 5-alpha reductase or measure  
17 effects on other enzymes critical to steroid hormone  
18 synthesis.

19                   The current AR prediction model is part  
20 of a battery of other assays. Including in vitro  
21 steroidogenesis and aromatase assays that do not also  
22 directly address 5-alpha reductase, but do address a  
23 broad range of steroidogenesis activities and other in  
24 vivo assays.

1                   The question is not whether the  
2 prediction model can adequately account for all of the  
3 steroid biology potentially addressed by the  
4 Hershberger assay, but whether chemicals affecting  
5 androgen activity are otherwise affecting  
6 steroidogenesis would be missed by the battery and  
7 absence of the Hershberger. It's also worth  
8 considering whether the Hershberger adds value  
9 considering the performance of the assay.

10                   During the validation of the  
11 Hershberger assay, it was noted that there was a high  
12 variability in assay results, some of which was due to  
13 the subjective nature of the scoring process. And so,  
14 an atlas was created to assist with this. However, it  
15 would be interesting to see if since the validation  
16 the performance has improved. At the time of the  
17 validation, the coefficient of variation varied by  
18 endpoint between 25 and 40 percent.

19                   A recent optimization of the ER model  
20 has identified four assays that would provide  
21 essentially the same predictive capacity as the full  
22 18 assay model recently published online this year.  
23 We suggest that a similar optimization be performed  
24 for the AR model pathway.

1           In addition, the utility of the model  
2           for prioritizing chemicals would benefit from the  
3           application of the integrated bioactivity exposure  
4           ranking. EPA has mentioned this also as something  
5           that they are intending to do. And we look forward to  
6           future integration and application of these  
7           approaches.

8           With respect to the charge questions 2,  
9           3 and 4, regarding the high-throughput version of the  
10          H25R assay, I will just again read some excerpts of  
11          these comments. First of all, the description  
12          actually of the high-throughput H295R assay in the  
13          whitepaper was a bit difficult to follow because a  
14          discussion of the comparison of ANT version alone to  
15          the version that has all 11 -- it was kind of  
16          interspersed. And it was kind of difficult to follow  
17          the threads. It was kind of hard to figure out in  
18          addition to the complex statistics which were above my  
19          paygrade.

20          The bottom line in my reading of it, is  
21          the significance of the concordance of the HT assay  
22          with ANT with a low-throughput assay was not out of  
23          line considering the variability of the original H295R  
24          assay. That's really the bottom line.

1                   The evidence presented in the  
2                   whitepaper and included in the references, support  
3                   EPA's conclusion that the HT version of the assay  
4                   would perform as well, if not better, than the low-  
5                   throughput version. The high-throughput version  
6                   probably has fewer equivocal calls and fewer false-  
7                   positives.

8                   Although we are not in a position to  
9                   evaluate the appropriateness of the Mahalanobis  
10                  distance statistical approach, the idea of integrating  
11                  measurement of 11 steroid hormones that addresses  
12                  multiple related pathways into a single assay, is  
13                  certainly a progressive step in increasing the cover  
14                  of biological complexity in the HT assay format. Not  
15                  only can the magnitude of the effect on these pathways  
16                  overall be used to identify priority chemicals, the  
17                  concentration response information on individual  
18                  steroids is likely to be quite useful in unraveling  
19                  mechanisms of action and in building quantitative  
20                  models of the interrelated hormone pathways.

21                  We also support EPA's suggested follow-  
22                  on projects of continuing to identify appropriate  
23                  reference chemicals for the predictive AR model, as  
24                  well as for this model. And also, to include exposure

1 estimates or predictions in a comprehensive integrated  
2 bioactivity exposure ranking system.

3 With request to charge questions 5 and  
4 6, this is on the thyroid pathways and AOPs. An OECD  
5 expert group convened a similar analysis of thyroid  
6 pathways and available related assays, which were  
7 published in 2014. The report also analyzed assays  
8 with respect to biological relevance and readiness.  
9 And prioritized assays for regulatory uptake.

10 This whitepaper includes many of those  
11 assays, not surprisingly since EPA participates in  
12 OECD expert groups and was likely involved in the  
13 report. And the reports served as a reference for the  
14 whitepaper. It also aligns these assays with an AOP  
15 framework. That not all of the assays are included is  
16 not surprising since many are still in development  
17 stages and/or are not amendable to the HT format.

18 Nor is it necessary to only implement  
19 existing assays. Development on application of  
20 thyroid-related AOPs, offer an opportunity to identify  
21 or create assays for a purpose.

22 For example, for a first-pass screen,  
23 it would be good to have assays that query key events  
24 that cover multiple AOPs, so that the first tier

1 screening covers all known related AOPs. Second-pass  
2 screening could then more extensively examine  
3 particular AOPs or molecular-initiating events. We  
4 hope EPA takes this opportunity to develop assays that  
5 fit this paradigm, including assays along the lines of  
6 what they are attempting to do with the HT295R assay.

7 We offer a couple of additional  
8 recommendations for developing HT models for thyroid  
9 activity. In addition to expert advice from this AOP,  
10 EPA could also consult international experts involved  
11 in similar efforts through the OECD National  
12 Coordinators of the Test Guidelines program. Or  
13 through the egg mass, for those of you who are on the  
14 egg mass.

15 And also, the European Commission who  
16 also recently published an analysis of thyroid-  
17 available assays and information. Through this  
18 framework contract they've had two, I think,  
19 workshops, and members of EPA have participated in  
20 those workshops.

21 Secondly, it's not necessary to develop  
22 assays for every MIE or to cover even most KEs. But  
23 rather to identify and develop assays informed by

1 critical KEs that cover the broad spectrum of biology  
2 in a limited number of assays.

3 Thank you very much for this  
4 opportunity to comment. And I'll take questions if  
5 there are any.

6 **DR. JAMES MCMANAMAN:** Any questions for  
7 this presenter? Okay. Thank you very much. At this  
8 stage, I think we can begin the charge panel questions  
9 and then break for lunch a little later. It seems a  
10 little early to go to lunch. If we can have the  
11 Agency come back to the table and give us Charge  
12 Question 1.

13 **DR. SEEMA SCHAPPELLE:** Just by matter  
14 of protocol, do we read the charge questions as we go?

15 **DR. JAMES MCMANAMAN:** Yes. You do.

16 **DR. SEEMA SCHAPPELLE:** Okay.

17 **DR. RONNIE JOE BEVER:** I'll read Charge  
18 Question 1. Please comment on the Agency's efforts to  
19 address the suggestions of the previous SAP. Thus,  
20 confirming the suitability of the current high-  
21 throughput androgen receptor pathway model to be used  
22 as an alternative to the low-throughput Tier 1  
23 androgen receptor binding assay.

1                   **DR. JAMES MCMANAMAN:** Okay the panel  
2 members on this charge question are Dr. Perkins,  
3 Berrocal, Pennell, Pullen Fedinick, Sobrian and  
4 Weller. Dr. Perkins is lead.

5                   **DR. EDWARD PERKINS:** Thank you. This  
6 Dr. Perkins, Army Corps of Engineers. I'll try to  
7 summarize the notes I have so far from the people on  
8 my question. And if I miss something or am incoherent  
9 on what you think is important, please --

10                   **DR. JAMES MCMANAMAN:** Ed, can you move  
11 the microphone a little closer.

12                   **DR. EDWARD PERKINS:** Or if I don't  
13 speak close enough to the mic. In general, people  
14 appreciated the second-generation AR pathway  
15 computational network model. I think people agreed it  
16 was a nice way to integrate output from the multiple  
17 high-throughput assays. And they liked the efforts to  
18 develop the confirmatory in vitro antagonist assay  
19 data, and efforts for cytotoxicity information that  
20 you used to distinguish true AR pathway activity from  
21 biological and/or technology-specific assay  
22 interference.

23                   This new model does seem to address  
24 concerns raised by the previous SAP for improving

1 scientific basis of the pathway model. However, while  
2 use of this model prioritize chemicals for testing is  
3 reasonable, there are remaining issues that should be  
4 addressed before it is used as the alternative for  
5 their LT Tier 1 AR binding assay.

6 Several people felt that you put a  
7 great effort together to address the comments.  
8 Particularly, with respect trying to look out for  
9 uncertainties, cytotoxicity and expansion of the assay  
10 battery and essentially the method to larger number of  
11 referenced chemicals.

12 One of the members felt that you did an  
13 adequate job responding to the concerns of  
14 cytotoxicity assay interference and transparency. And  
15 thinks that the use of the confidence score really  
16 helps this model be a major improvement over the last  
17 iteration of the AR model. And the additional assays  
18 probing antagonist behavior with some limitations --  
19 which we'll mention later -- also appears to be a  
20 useful addition.

21 However, it was felt that the current  
22 AR model does not adequately address some comments on  
23 expanded chemical universe in some of the AR battery  
24 and non-classical AR binding issues. One member had

1 thoughts that this really does limit and prohibit the  
2 AR pathway model from being endorsed as an alternative  
3 to the LT Tier 1 AR binding assay at this time. And  
4 we'll expand upon that in a little bit.

5 On the limitations, I think we'll talk  
6 about those in each of the different areas. I've  
7 broken them down into kind of the principle areas that  
8 the SAP brought up. One was evaluating cytotoxicity.  
9 The issues related to cytotoxicity and cell stress  
10 were particularly important with respect to chemicals  
11 identified as antagonist. The model must be able to  
12 differentiate between cytotoxicity and cell stress and  
13 true antagonism.

14 Particular attention should be given to  
15 issues related to assay interference and to the  
16 factors in chemicals that contribute to cytotoxicity  
17 and stress. In general, members thought the Agency  
18 had done well in developing a caution flag or a  
19 cytotoxicity filter using cell-stress flags and other  
20 markers that were added to the model.

21 One question I had, as was brought up  
22 by one of the public presenters, was the issue of the  
23 mitochondrial membrane potential and on lower level  
24 chemical impacts on mitochondrial function, and how

1 that might affect dynamics of some of the  
2 cytotoxicity. That might be a consideration not just  
3 for the steroidogenesis, but perhaps for some of the  
4 other in vitro assays.

5 The Z-score approach, to flag the AC  
6 values considerably below medium AC 50, seemed to be  
7 somewhat informal but it does effectively compare the  
8 toxicity identified in the assays to the expected  
9 cytotoxic effects.

10 One member felt that although the  
11 results of the AR pathway model on the reference  
12 chemical list was quite impressive, comparison with  
13 results obtained by the Tier 1 binding assay indicate  
14 quite a disagreement between the Tier 1 binding assay  
15 and the proposed model. The Agency has given  
16 reasonable reasons for the discordance in the results.  
17 And while the justification is reasonable, it raises  
18 doubt whether this is a result of overfitting.

19 The AR pathway model has in some sense  
20 been trained using the reference chemicals in mind.  
21 And thus, the impressive performance of the model on  
22 the reference chemicals should be considered as some  
23 sort of in-sample validation or lack of independent  
24 test samples.

1                   Although the Agency has tried to  
2                   incorporate cytotoxicity and cell stress in the  
3                   proposed framework, while also accounting for the  
4                   additional source of uncertainty that cytotoxicity and  
5                   cell stress introduction to the assay data, in one  
6                   member's opinion the approach undertaken for confidence  
7                   scoring is not quite optimal yet and still required  
8                   some work.

9                   In particular, Figure 2-9 in the  
10                  whitepaper was rather confusing as it showed a large  
11                  spread of AUC values within each confidence score  
12                  class. Ideally, it would have been better to have a  
13                  greater separation between the difference confidence  
14                  score classes.

15                 Careful assessment of the general  
16                 properties of solvent and test chemical in in vitro  
17                 assay should be considered. This addresses one of the  
18                 previous SAP questions. These factors are critical  
19                 for AR bioactivity assays due to the predominance of  
20                 chemicals that express antagonist activity rather than  
21                 agonist activity.

22                 Tier 1 AR binding assays do allow for  
23                 testing chemicals that are water soluble. However,  
24                 during the presentations, the Agency informed the SAP

1 that testing on water-soluble chemicals in HT assays  
2 has begun, will continue, but will be at a low  
3 priority. And we eagerly await to see what kind of  
4 results you get with water-soluble chemical testing  
5 versus using a DMSO.

6           Optimizing the assessment of activities  
7 -- and this goes back to what Kate Willett just  
8 mentioned on how many assays do you really need to  
9 assay before you get results, or how do you know what  
10 you're doing is sufficient. I think there needs to be  
11 a little bit more exploration of that. Do you really  
12 need all 11 assays to do this to get a similar answer  
13 there? Do different assays contribute more to the  
14 outcome than others? And this might help address some  
15 of the issues on interference. Do you really need  
16 assays that have significant interference?

17           The addition of confirmatory assays and  
18 orthogonal assays was a clever and effective way to  
19 address some of the issues of interference and having  
20 limitations of each individual test. The addition of  
21 two competitive binding assays seemed helpful for  
22 increasing the ability of the model to detect  
23 antagonist. But the assay still suffers from  
24 significant limitations.

1           In particular, the inability of the  
2           assays, to probe chemicals that are not soluble in  
3           DMSO, represents a significant barrier to the use of  
4           this model for screening chemicals that reside outside  
5           of ToxCast current domain of applicability. The  
6           addition of assays and/or results for chemicals in  
7           water or ethanol will help build confidence in the AR  
8           model's ability to replace or serve as an alternative  
9           to the current Tier 1 assay.

10           Expansion of the reference chemical AUC  
11           value range. There were questions, or suggestions  
12           from the last AOP, that EPA expand the range of  
13           chemical structure tested in the assay battery to  
14           maximize the screening potential, or understand the  
15           full potential of models that they're building.

16           One member felt that this was really  
17           adequately addressed by analyzing 1855 different  
18           chemicals of varying potency classes. Other reviewers  
19           felt that while the systematic review process for  
20           identifying chemical standards seem to be a robust  
21           process, and reference chemicals identified had a  
22           range of potencies, the current technical limitation  
23           context of the ToxCast system only work with DMSO-  
24           soluble chemicals, and make it impossible to determine

1 whether or not an AR model is suitable replacement for  
2 these LT rat prostate cytosol assays.

3 The current androgen receptor binding  
4 assay allows for use of ethanol water or DMSO solvents  
5 for chemical solubility. The chemical of the universe  
6 available for testing in the LT method is therefore  
7 necessarily larger than that of the AR model. Though  
8 the specificity, sensitivity and the BA -- balance  
9 accuracy -- are all quite high for the AR model. This  
10 calculation is only among chemicals that were tested  
11 in the ToxCast dataset, not in the entire EDSP  
12 universe.

13 Of the standards selected, there were  
14 only 31 in the 10,000 plus chemical EDSP universe.  
15 For example, Jarvis-Patrick Clustering, the Kmin of 5  
16 and a K equal to 10, identified nearly 3,000 clusters  
17 across over 6,000 chemicals, including 6,425 chemicals  
18 in the EDSP universe with chemical availability. And  
19 23 of the standards were not already included in the  
20 EDSP universe.

21 The selected standards, used by EPA,  
22 covered only 36 of the clusters identified. An  
23 examination of these clusters may identify further  
24 chemicals that could represent a broader portion of

1 its universe without trying to overwhelm you with  
2 numbers.

3 Solubility issues could make this model  
4 less able to identify chemicals with potential AR  
5 binding activity than the current Tier 1 test.  
6 Without the evidence of contrary -- i.e. that this  
7 model would be broadly applicable across the EDSP  
8 universe -- the inability to test chemicals that are  
9 amenable to the current Tier 1 test make this an  
10 unacceptable replacement, as felt by one member.

11 Demonstration of model reproducibility.  
12 The fact that the analysis incorporated several assays  
13 does support reproducibility of the results, in that  
14 it wasn't influenced by the sensitivity of one  
15 particular assay. Although, I don't know that this  
16 was really shown directly.

17 Using a bootstrap approach, you did  
18 show the interval estimates on the AUC, which reflect  
19 the uncertainty due to differences. You were able to  
20 generate interval estimates on the AUC, which were  
21 able to reflect the uncertainty due to difference  
22 across assays though.

23 While one member believed that the  
24 Agency has made a valiant effort in trying to

1 characterize the uncertainty AUC values derived using  
2 the AR pathway model, this member believed that more  
3 details are needed to understand whether the  
4 confidence intervals constructed using bootstrap  
5 resampling correctly account for all different types  
6 of uncertainties.

7           From the description of the bootstrap  
8 resampling procedure, it's unclear how the sampling is  
9 done, and whether the entire flow procedure, including  
10 model fitting to estimate the R values, curve fitting,  
11 et cetera, was applied. In particular, were the data  
12 relative to a chemical resampled within an assay and  
13 concentrations each time. Or was the data relative to  
14 a chemical resampled without doing the resampling  
15 within assay concentration pair.

16           There was a question on metabolic  
17 conversion of chemicals. Members felt that the  
18 bioactivity battery should include methods to assess  
19 potential effects of chemicals as well as the  
20 metabolites formed by enzymatic conversion in  
21 biological systems. I understand that EPA has plans  
22 of working with that.

23           In vitro assays may not always predict  
24 in vivo outcomes due to the limited coverage of

1 metabolic paralysis present in whole organism,  
2 especially important for compounds to undergo  
3 bioactivation; as these chemicals can produce false-  
4 negatives when tested in assays without metabolic  
5 activity.

6 This is a limitation of Tier 1 binding  
7 assays that should not be incorporated into the HT  
8 models as it's further developed beyond representing  
9 the Tier 1 binding assay. As I mentioned, the Agency  
10 recognizes the importance of metabolic-active cell  
11 lines and delineated is considering in silico  
12 approaches in additional assay with metabolic  
13 competency to address these issues in future planning.

14 Development of AR-related assays that  
15 do not follow classical genomic nuclear receptor  
16 pathways. The previous SAP asks the Agency to  
17 consider potential non-classical, non-genomic  
18 mechanisms that mimic or inhibit androgen bioactivity,  
19 such as non-DNA binding dependent pathways.

20 There are several ones including  
21 activation of second messenger pathways, including  
22 ERK, AKT, MAPK, that have been identified in a number  
23 of cell lines such as osteoblast and osteocytes that  
24 could be used. Indirect gene-trans repression could

1 also occur by the AR binding and sequestering  
2 transcription factor, such as activator protein 1,  
3 that are normally required to upregulate target-gene  
4 expression in the absence of AR binding to DNA. It  
5 was discussed that the Agency is considering non-  
6 classical and non-genomic mechanisms of AR pathway  
7 activation for future studies though.

8 While the Agency suggested it does not  
9 need to expand the chemical library to include non-  
10 genomic androgen antagonist, this decision seems to  
11 undermine the potential power of the tools they are  
12 creating and utilizing.

13 Since the goal of the EDSP program is  
14 to expand the use of AR tools to ultimately replace in  
15 vivo Hershberger assay, the ability of the model to  
16 identify chemicals that exert action outside of the  
17 canonical AR binding AOP is an essential one. And the  
18 Agency should continue efforts in trying to look at  
19 those.

20 Another challenge or request from the  
21 last SAP was to increase transparency in describing  
22 details about methods and results. Overall, we think  
23 the Agency has made a significant effort to really  
24 increase their transparency through publication of the

1 work and peer review literature, making data available  
2 to the public, and making protocols available.

3 All raw and processed data, as well as  
4 computer codes, are publicly available. And assays  
5 descriptions were described well in the supplemental  
6 files, including R code.

7 One thing on addition or optimization  
8 of the AR bioactivity test, as was mentioned before,  
9 many of these are based on availability. We would  
10 also encourage the Agency to consider more targeted  
11 development of assays, or picking assays based on key  
12 events in the chain of biological pathways that  
13 they're actually trying to look at, rather than just  
14 availability.

15 While one member understands that there  
16 is a point that there are maybe enough assays from a  
17 statistical standpoint, the Agency should made an  
18 argument that no key assays were made. Make more of a  
19 biological argument in setting up the AR pathway  
20 analysis that no essential events were made.

21 Replication. There was a thought that  
22 you should try to replicate known in vivo activity in  
23 in vitro assays. The EPA is currently developing  
24 assays to achieve this goal, thus the suggestion has

1 not been adequately addressed in this, but is in  
2 progress.

3 Mathematical issues. Compression of  
4 AUC scores. The AUC value range is narrow and lacks  
5 significant magnitude range for discriminating between  
6 AR bioactivity scores that are assigned to specific  
7 chemicals. The endocrine policy forum presents cogent  
8 arguments regarding this need to eliminate compression  
9 of AUC scores.

10 One statistical concern with the  
11 proposed approach, is the number of preprocessing  
12 steps involved in the analysis pipeline; which makes  
13 an inference procedure more prone to error and  
14 uncertainty, and may result in varying performance due  
15 solely to modeling decisions made throughout the  
16 pipeline.

17 Future iterations of the analysis  
18 approach may consider incorporation of other  
19 approaches such as the deep-learning approach offered  
20 by Borgen (phonetic) et al in 2017. It is noteworthy  
21 that development of this, and other approaches, is  
22 made possible by the EPA transparency, making assay  
23 data publicly available. EPA should continue to

1       strive for transparency in documenting and providing  
2       available data.

3                   In regard to reproducibility, it was a  
4       little unclear to me on the performance-based issue if  
5       this really does apply to a model. I can see where  
6       the limited availability, or the high cost of 11  
7       assays from several different companies for a small  
8       place, would be prohibited. But I would think that  
9       those companies had some validation test of their own,  
10      for those assays, that we could understand how this  
11      might impact further down the pipeline.

12                   Additionally, I'm a bit unclear as to  
13      why the modeling that we're trying to validate -- the  
14      model predictions -- why this would not be accessible  
15      to a normal model, using other assay data, to see  
16      whether the pipeline gave similar results or not. I'm  
17      still a little unclear why performance-based  
18      validation is more appropriate than some of the  
19      traditional portions. Especially for modeling where  
20      you're holding out one set of data -- do the  
21      development of the model on one set, and then you test  
22      the set on a dataset that you haven't actually  
23      incorporated into the model or used to develop the  
24      model.

1 I think that's more or less everything  
2 that I had gotten from everyone and random thoughts  
3 too. We'll open it up to for anyone else to  
4 contribute.

5 **DR. JAMES MCMANAMAN:** Okay. Dr.  
6 Berrocal.

7 **DR. VERONICA BERROCAL:** I don't have  
8 anything to add. Ed has said everything I sent him.

9 **DR. JAMES MCMANAMAN:** Dr. Pennell.

10 **DR. MICHAEL PENNELL:** I would just like  
11 to add to the concern about the number of  
12 preprocessing steps in the analysis. Perhaps some of  
13 those issues could have been addressed in their  
14 bootstrapping. But it needs to be made clearer,  
15 exactly what they were doing in the bootstrapping.

16 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
17 Pullen Fedinick.

18 **DR. KRISTI PULLEN FEDINICK:** The other  
19 issue that I thought would be helpful to think about  
20 is the noncompetitive mechanisms of antagonisms. That  
21 wasn't covered in the new assays that were added. And  
22 not having this could render the competitive binding  
23 assays less useful than proposed; and could  
24 significantly impact the ability of the model to

1 correctly identify chemicals that act in non-classical  
2 ways.

3 And so, in addition to looking at the  
4 chemicals that could fall within the non-genomic or  
5 classical antagonisms models, really thinking about  
6 the ways in which chemicals can act as antagonist as  
7 well. I'm thinking about additional assays that would  
8 cover that.

9 It would also be useful moving forward  
10 for the Agency to explore the use of higher maximum  
11 concentrations, in order to reduce the false-negative  
12 rate found during the comparison of the Tier 1, List 1  
13 results to the AR model. If you don't have the  
14 technical limitations of going above 100 micromolar,  
15 it would be interesting just to see for chemicals,  
16 that you saw the false-negative for at least. And  
17 expanding that potentially based upon chemical  
18 similarity to see if similar results would be found.  
19 Or just doing that for a subset of the chemicals  
20 within the ToxCast universe.

21 Also, the program should also ensure  
22 that it's being more sensitive than they are specific.  
23 The EDSTAC recommended, in their report in 1999, that  
24 the assays have the primary objective and the

1 minimization of false-negative or Type 2 errors, while  
2 permitting an as-yet, undetermined, but acceptable  
3 level of false-positive or Type 1 errors. So,  
4 ensuring that your Type 2 errors are very low.

5 And the Agency's response to this  
6 question yesterday about false-negatives being allowed  
7 due to this being a prioritization, is in some ways  
8 misleading. In that these tests will not only be used  
9 for prioritization, but also for screening. And so  
10 even though we've been talking a lot about  
11 prioritization, these are potential replacements or  
12 other ways in which to submit information for the Tier  
13 1 screen. And so, ensuring again that those false-  
14 negative rates are very low is essential.

15 The fact that this charge question  
16 specifically asked about the ability to serve as an  
17 alternative to the Tier 1 screening test, makes this  
18 even more important. The inability to evaluate the  
19 chemicals that reside outside of the chemical  
20 standards tested, limit the confidence in this  
21 particular method.

22 And then finally for reproducibility,  
23 it seems as though -- and this is kind of brought up  
24 in some ways as well -- but asserting that orthogonal

1 assays demonstrate reproducibility in some ways seems  
2 a flaw in logic. Particularly, since each of the  
3 assays is used to create a composite score for each  
4 chemical. And so, identifying ways to assess  
5 reproducibility in naive labs may not be necessary as  
6 what was done with the OECD validation processes.

7 But the reproducibility of this model  
8 outside of the Agency, or with non-ToxCast data,  
9 hasn't yet been demonstrated. It would be interesting  
10 to explore ways in which reproducibility might also be  
11 addressed outside of the ways that it has been so far.

12 **DR. JAMES MCMANAMAN:** Dr. Sobrian.

13 **DR. SONYA SOBRIAN:** I have nothing to  
14 add because Dr. Perkins has adequately incorporated my  
15 comments.

16 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
17 Weller.

18 **DR. GRANT WELLER:** My comments were  
19 captured by Dr. Perkins. I would just add that from  
20 the perspective of the data product involved here, so  
21 the analysis pipeline and the mathematical model, I do  
22 think the concerns of the previous SAP have been  
23 adequately addressed. And I just repeat other  
24 panelists remarks that the EPA's efforts towards

1 transparency and reproducibility have been really  
2 impressive.

3 **DR. JAMES MCMANAMAN:** Okay. This  
4 charge question is open to other panel members if they  
5 would like to make comments. Yes, Dr. Ehrich.

6 **DR. MARION EHRICH:** I have just a  
7 question for the people that answered this. There  
8 seems to be a lot of worry about water solubility.  
9 Yet by the time you put the chemicals in the assay,  
10 you can't have more than .1 percent DMSO so  
11 essentially, they do have quite a bit of water  
12 solubility. This came up again and again and I just  
13 wonder why.

14 **DR. KRISTI PULLEN FEDINICK:** I don't  
15 think it's necessarily water specifically, right.  
16 It's just using water as an example of the limitations  
17 of the current ToxCast system. There are issues with  
18 metals, there are issues with volatile chemicals. The  
19 ToxCast process in and of itself is limited in its  
20 ability to probe the full 10,000 chemical EDSP  
21 universe.

22 I wouldn't focus necessarily on water  
23 so much, that's just one particular media that would  
24 be important, I think, for a lot of these chemicals.

1 But ultimately, it's really about ensuring that we  
2 have coverage of all of the chemicals that are  
3 required to be tested under this program. And so, I  
4 think that's really more of the issue, rather than  
5 water specifically.

6 **DR. MARION EHRLICH:** That was the word I  
7 heard.

8 **DR. KRISTI PULLEN FEDINICK:** Yeah. It  
9 just came up as an example, right. Just indication of  
10 the smallness of the universe. And so, when we look  
11 at that big graph that has little tiny bits that said  
12 -- you know, or the big circle and the little tiny  
13 circles that say, this is what we covered so far.  
14 You'd have a slightly bigger circle maybe that covers  
15 10 percent of that larger EDSP circle that's currently  
16 within ToxCast testing ability.

17 And so, we're not looking at just 10  
18 percent of the EDSP universe. We need to be able to  
19 explore 10,000 chemicals if not more. Again, water is  
20 just one potential limitation, but you could  
21 substitute that with volatility and other types of  
22 chemical characteristics.

23 **DR. JAMES MCMANAMAN:** Dr. Ehrlich.

1                   **DR. MARION EHRICH:** I would suggest  
2 that maybe that be put in the response, so it doesn't  
3 sound like water solubility is the primary concern  
4 here, it's something else.

5                   **DR. KRISTI PULLEN FEDINICK:** Yes.  
6 That's great. It's in there too, so yeah; we can do  
7 that. Thank you.

8                   **DR. JAMES MCMANAMAN:** Dr. Clewell.

9                   **DR. REBECCA CLEWELL:** I'd like to  
10 respond to that specifically. And then I also made  
11 some notes. I wasn't on this team, but I'm obviously  
12 very interested in the topic.

13                   I think it's important to point out  
14 that this domain of applicability for in vitro assays  
15 is broadly true for all in vitro systems. And  
16 including the low-throughput assays that we are  
17 specifically trying to replace in this charge  
18 question.

19                   The question of whether this assay --  
20 the low throughput can be replaced with the high  
21 throughput, that doesn't have anything to do with the  
22 domain of applicability for in vitro assays. The  
23 issue you bring up is important, broadly, for in vitro  
24 replacement for animal testing, but it doesn't

1 preclude this assay from being replaced with another  
2 in vitro assay.

3           They all have that same issue in that  
4 it's difficult to use volatile chemicals. It's  
5 difficult to use metals. It's difficult to use  
6 anything that's highly lipophilic. Anything that  
7 binds strongly to proteins.

8           There are a lot of kinetic issues in  
9 vitro and there are some very brilliant people working  
10 on that issue and the EPA has taken on - NCCT, in  
11 particular, has taken on several of those issues in  
12 terms of metabolism. And I understand they are  
13 actually looking into lung systems for volatility.

14           I think that that is an important  
15 issue, but I also think we need to not confound the  
16 question that we were given with that particular  
17 issue. Because it's just not a fair argument to use  
18 against one in vitro assay as a replacement for  
19 another.

20           I would love to talk about domain of  
21 applicability though. And I would love to hear,  
22 either in the response from the Agency or I'm not sure  
23 how this goes, a plan forward for how we can  
24 prioritize. And say for chemicals that are useful,

1 can be tested in an in vitro system, then this would  
2 be our plan forward.

3 For those chemicals that can't, we  
4 still have a plan forward. And maybe it's the old  
5 fashion way. And maybe it uses more animals than we  
6 would all prefer to use, but at least we have a path  
7 forward. And we're not going to just say we'll never  
8 test them because we don't know how to use medals in  
9 an in vitro system.

10 I'm sure the Agency is thinking that  
11 way because they're not going to just say well we're  
12 never going to test medals. I think there's  
13 opportunities to have the discussion; I think it's an  
14 important discussion to have, but I don't think it  
15 rules out the utility of the current in vitro assay as  
16 a replacement for another in vitro assay.

17 In terms of the non-genomic signaling,  
18 I too agree that it is very important. Pretty much  
19 every nuclear receptor pathway has non-genomic  
20 signaling that are important to the overall phenotypic  
21 response, which is overall what we would like to  
22 avoid. It's true for ER, AR, thyroid, CAR, PXR. Keep  
23 naming receptors, you'll find a non-genomic signaling  
24 pathway that's important. And it's not sufficient to

1 just say can a chemical bind a receptor and then, yes  
2 I can guarantee that there will be a phenotypic  
3 response.

4 On the other hand, again, our charge  
5 question is can we replace an AR binding model with an  
6 AR binding model? And I would say yes. As a matter  
7 of fact, not only did you say can I replace an AR  
8 binding model with the binding model, but now you're  
9 replacing it with a pathway model that at least moves  
10 us beyond the binding to the dimerization and the  
11 transactivation. And the transactivation in itself  
12 covers some of the concerns, I think. And it could be  
13 tested regarding non-competitive binding in  
14 particular.

15 There are ways that these could be  
16 tested. I agree that it would be useful to go back  
17 and evaluate whether the transactivation assay could  
18 account for non-competitive binding. I agree that I  
19 can't think of a non-competitive binder off the top of  
20 my head. But if people know of it, then I say we put  
21 it in a document and we ask to see how that performs  
22 in a transactivation assay.

23 The reason I bring up the non-genomic  
24 signaling -- because I do think it's important,

1 particularly if you want to move to replacing the  
2 Hershberger or any of the in vitro assays. I was very  
3 pleased to hear that there is a proliferation assay in  
4 development. Or even maybe in testing. That brings a  
5 phenotypic response into the suite, which is really  
6 important.

7           Because to get a full proliferation  
8 response in response to androgen receptor binding, you  
9 need a lot more events happening within the cell than  
10 just a binding event, or even just a DNA-binding  
11 event. You need to have a concerted cellular response  
12 to the androgen ligand. And so that's a very  
13 important addition to the suite. I would recommend  
14 that that be added into the model as soon as possible,  
15 possibly before making it useful for the EDSP.

16           I think that's most of it, except the  
17 optimization of the model to see whether we can't  
18 remove some of those. There is a lot of redundancy in  
19 the current model. There is more than one binding  
20 assay. There is more than one transactivation assay.  
21 Could we reduce that and provide performance-based  
22 standards for whatever transactivation assay you were  
23 going to use. And whatever binding assay you are

1 going to use. And make this actually more feasible  
2 for companies and smaller businesses to use.

3 As a small business, I both run assays  
4 and I contract out assays, and it is not feasible for  
5 me to contract out 11 assays, it's just not. But I am  
6 I'm confident -- though I haven't run the numbers with  
7 the AUC calculation myself -- I'm confident that  
8 there's probably performance-based standards that  
9 could be applied to the general concepts of the assays  
10 that are being used as part of the AUC, so, the  
11 binding or the transactivation; that once they're  
12 applied, it wouldn't really matter if I use your  
13 transactivation assay or my transactivation assay.

14 I can use a transactivation assay that  
15 is appropriately sensitive, and that should be enough  
16 to get me the data I need to do an AUC model. And I  
17 think that's just tremendously important. Because we  
18 don't all have the resources that the government has.  
19 I can't do 11 assays for every chemical I've got an  
20 interest in. But I can do a binding assay and a  
21 transactivation assay.

22 I'm almost done, I swear. But I  
23 actually think it's really important -- and the reason  
24 I wanted to speak up. I think it's important that we

1 take this opportunity to support the replacement of a  
2 low throughput, kind of hinky, AR binding assay with a  
3 comprehensive suite of assays. And then we provide  
4 recommendations for how it can be better.

5 Let's take a step forward. Let's  
6 provide recommendations for how it can better and  
7 let's improve as we go. Maybe as a panel we can  
8 provide some -- my interest was piqued with the  
9 comment earlier about why aren't we at least trying to  
10 replace the Hershberger. We've done it for the  
11 uterotrophic assay, right, so what is different about  
12 androgen receptor. I think having the proliferation  
13 assay will make it a much easier move to an in vivo  
14 replacement for at least one of the short-term in vivo  
15 male rat androgen receptor assay.

16 But I think it's important to highlight  
17 that this is actually a good replacement for the  
18 current in vitro, and I don't think we're that far off  
19 from the in vivo. At least the short term. And I'm  
20 going to leave it at that.

21 **DR. JAMES MCMANAMAN:** Thank you. I  
22 think Veronica had her hand up first. Dr. Berrocal.

23 **DR. VERONICA BERROCAL:** Yes. I guess I  
24 have a question. And I'm speaking as somebody who

1 doesn't understand assay very well. I guess I'm  
2 confused about what is the goal of this charge  
3 question and what is it that we're trying to do here.  
4 And whether this androgen receptor pathway model is  
5 something that the EPA should use for prioritizing  
6 chemicals or companies outside of EPA should use.

7           And the question is -- I think the  
8 reason why I have this question is because some  
9 comments that have been raised during the public  
10 comments about reducing the number of assays. When  
11 the SAP gave a suggestion to EPA in the previous  
12 meeting -- in the 2014 meeting -- to actually increase  
13 the number of assay. And I think that the suggestion  
14 was given because the idea was to have EPA be as  
15 thorough as possible.

16           I just feel conflicted in the sense  
17 that this question is asking whether the EPA has  
18 addressed the comments that the previous SAP has  
19 raised. And instead we are receiving comments about  
20 reducing the number of assays to achieve another goal,  
21 which I don't think is the goal that this question was  
22 trying to address. But maybe I'm misunderstanding the  
23 charge question.

24           **DR. JAMES MCMANAMAN:** Thank you.

1 DR. REBECCA CLEWELL: Can I respond to  
2 that? Just shortly.

3 DR. JAMES MCMANAMAN: Sure.

4 DR. REBECCA CLEWELL: I wonder if --  
5 and I wasn't part of the previous SAP. But they did  
6 ask for more assays. Within that same kind of  
7 context, they had mentioned the non-genomic signaling.  
8 And I wonder if the request wasn't more about  
9 including assays that address different parts of the  
10 pathways. Because really, if you already have two  
11 binding assays, do you need five? More isn't always  
12 better. Did they address it? Sure, they have more  
13 assays, but that could be separate from optimizing the  
14 assays.

15 DR. JAMES MCMANAMAN: Dr. Perkins.

16 DR. EDWARD PERKINS: No. It really  
17 wasn't that. It's that there were feelings that  
18 endocrine receptor membrane signaling might have  
19 impacts, rather than translocating to the nucleus.  
20 That was more of the directive than needing more  
21 assays along the same pathway. It was kind of a  
22 different thing.

23 DR. REBECCA CLEWELL: See, that makes  
24 sense. And then that would mean we wouldn't want to

1 add more binding assays or more transactivation  
2 assays. You would want to add assays that  
3 specifically address that question.

4 **DR. EDWARD PERKINS:** But that was the  
5 ER pathway one, which was looking at a much larger  
6 scale. Right here, they've really focused it on  
7 trying to replace this binding assay, which I think is  
8 fairly appropriate. It's not going beyond knowing  
9 essentially have you activated the binding --  
10 replacing what the prioritization with the binding  
11 assay is; it's, is it interacting enough with AR to  
12 activate downstream events potentially. I think it  
13 does do quite a bit of that.

14 **DR. JAMES MCMANAMAN:** That was Dr.  
15 Perkins and Dr. Clewell. Dr. Pullen Fedinick.

16 **DR. KRISTI PULLEN FEDINICK:** Just to go  
17 back to the comment about the demand of applicability  
18 and the inability for in vitro assays in general to  
19 measure things like medals, and I think that's  
20 absolutely true. But with the ToxCast assays, so a  
21 chemical that just comes to mind is glyphosate, for  
22 example. And EPA should certainly correct me if I'm  
23 wrong. But under the current ToxCast assays that we  
24 have, glyphosate wouldn't be able to be run with this

1 assay, but would be able to be run with a low-  
2 throughput assay.

3 And so, it's not a medal. It's not  
4 lipophilic. It's not all these things that you  
5 mentioned. I think that not having the ability to  
6 probe something that is largely present in the  
7 environment, something that is water soluble,  
8 something that potentially should go into a  
9 prioritization scheme. Right now, that would even  
10 fall outside of the prioritization context of these  
11 tools completely.

12 And so, you would have chemicals that  
13 would just be sitting in a holding bin until the  
14 Agency is able to develop something to address some of  
15 these issues. And so, wouldn't then even be  
16 prioritized to go into further testing. I think the  
17 problem of solubility, or the problem of the limited  
18 demand of applicability, isn't just those things that  
19 would fall outside of in vitro assays in general, but  
20 specific to ToxCast.

21 **DR. REBECCA CLEWELL:** I think maybe you  
22 and I heard two different things yesterday; glyphosate  
23 because it's not DMS soluble. What I heard yesterday  
24 is there's no reason that water soluble chemicals

1        couldn't be used with a water vehicle in the ToxCast  
2        assay. It just hasn't been done yet. But it's not  
3        limited and unable to do that.

4                        And then the other thing I heard  
5        yesterday, that's helpful in this situation, is that  
6        this isn't necessarily a replacement, it's an  
7        alternative. If they're not able to test in the  
8        ToxCast assays, they could still use the old binding  
9        assay. There's no limitation to having this model on  
10       the table in addition to the old model.

11                      **DR. KRISTI PULLEN FEDINICK:** Just to  
12       comment back on that. I think that the Agency having  
13       done the water test, I think is amazing. I would love  
14       to see those, but we've not seen them. And so, if  
15       what we're supposed to be analyzing is the underlying  
16       science, we can only analyze what it is that we've  
17       seen. Had the water test been presented in this  
18       meeting, I think I would be much more comfortable  
19       saying that this is applicable.

20                      We could use this to prioritize  
21       chemicals that are then going to go on to further  
22       testing. Or to use within our scientifically relevant  
23       information. But since we haven't -- as a panel, we  
24       don't have access to that information currently, we

1 can't then evaluate the applicability beyond what  
2 we've already seen.

3           Again, because these tools are being  
4 used for priority setting, and for testing, I think  
5 that we have to think about the pools that can even  
6 then be brought into those Tier 1 tests in the first  
7 place. You want to make sure that that prescreen,  
8 that prioritization cast, is as wide a net as  
9 possible. And then you can then prioritize from that  
10 wide net that would then go through the EDSP screening  
11 process.

12           But if we have a very narrow net in the  
13 very beginning, that means we're screening  
14 increasingly smaller numbers of chemicals that are,  
15 again, missing chemicals that are relevant to human  
16 exposures.

17           **DR. JAMES MCMANAMAN:** Okay, thank you.  
18 Dr. Pennell.

19           **DR. MICHAEL PENNELL:** A comment on the  
20 request to reduce the number of assays. I kind of  
21 made this comment that Dr. Perkins summarized. I kind  
22 of like the idea even if you do have different assays  
23 that are measuring the same thing. One thing that  
24 does eliminate is having to undergo additional

1       uncertainty analysis later on, right. What you're  
2       presenting is dependent upon one particular assay that  
3       you chose for each of the binding sides, for instance.  
4       The fact that you're using multiple sources of  
5       information, and getting estimates, which are kind of  
6       averaged across, I feel is a strength.

7                   **DR. JAMES MCMANAMAN:** Thank you. Dr.  
8       Perkins.

9                   **DR. EDWARD PERKINS:** I just have to  
10       support that again. The use of orthogonal assays, I  
11       think, really helps a lot. It's much like this panel;  
12       we have to have statisticians to compensate for the  
13       biologists. I think it evens out in the end.

14                   **DR. REBECCA CLEWELL:** That is more  
15       assays. It's having more assays within that pathway.  
16       And it actually gives you more information than having  
17       a lot of assays for just two key events. I'm not  
18       against having more assays or more information, but  
19       it's always a balance of what can we actually do,  
20       feasibly, within the resources that are available.  
21       Dr. Paul is probably liking that I'm saying that. And  
22       then also what you need.

23                   What the EPA, I believe, is doing --  
24       though I'm not privy to it -- is optimizing the

1 estrogen model in that way; in saying if we have these  
2 number of assays, how can we reproducibly get the same  
3 quality of results. So, the same balanced accuracy,  
4 or at least close enough, if we pull these pools.

5 That's a statistical exercise I bet you  
6 would probably be really good at; is saying how can we  
7 ensure that the smallest number of assays, with the  
8 greatest payoff, and that's just optimization. I'm  
9 not saying just knock out important assays, but I  
10 think it's important to say how many do we really need  
11 to be consistently able to predict a response.

12 **DR. JAMES MCMANAMAN:** Is that part of  
13 the charge question?

14 **DR. REBECCA CLEWELL:** Yes. Inherently.

15 **DR. JAMES MCMANAMAN:** I think it's an  
16 important discussion, but I don't know whether it  
17 actually -- I'm sorry. That was Dr. Clewell and this  
18 is Dr. Perkins.

19 **DR. EDWARD PERKINS:** That actually does  
20 kind of falls into -- reviewing the optimization of  
21 the assays and the pathway was one of the SAP  
22 suggestions. And this kind of falls into  
23 optimization. What is the optimal combination of

1 assays in this work to get desired outcomes? It kind  
2 of fits in there, I think.

3 **DR. JAMES MCMANAMAN:** Dr. Pullen  
4 Fedinick.

5 **DR. KRISTI PULLEN FEDINICK:** One of the  
6 questions I would have in terms of the charge question  
7 is, is there a scientifically justifiable reason for  
8 optimization, rather than a resource specific  
9 question. And I think that if what we're here to  
10 address is the science of it, then potentially having  
11 more -- if we, again, think about this in a completely  
12 resource rich environment.

13 And again, we're thinking about this in  
14 a vacuum in a way, just looking at the science, then  
15 the number of resources, or the amount of money that  
16 it cost to run a particular assay, isn't necessarily a  
17 scientific concern; but a financial concern that then  
18 would be addressed after this. The Agency then has to  
19 make a decision based upon those types of question,  
20 but that's not for a science advisory panel to  
21 discuss.

22 **DR. JAMES MCMANAMAN:** Okay. Other  
23 comments? If not, I'll send it back to the Agency and  
24 ask if everything was understood.

1                   **DR. SEEMA SCHAPPELLE:** A couple of  
2 points of clarification and a question. And I'd also  
3 like to turn it over to Dr. Bever for clarification on  
4 the charge question. And Dr. Judson for some  
5 additional issues as well.

6                   I do want to underscore the point that  
7 what we are posing is an alternative and not a  
8 replacement. And this is still proving the ability --  
9 and this is a comment that was made earlier here --  
10 providing the ability to use the Tier 1 assays for  
11 evaluation when it's appropriate. And I think that  
12 really touches on a lot of the issues that we've  
13 talked about today. Things like the domain of  
14 applicability and some of the prioritization. This  
15 does not preclude us from prioritization. It gives us  
16 an additional tool. That's one thing I wanted to  
17 bring up.

18                   Also, a comment was made earlier with  
19 regard to analysis of our chemicals in terms of a low-  
20 throughput comparison to a high-throughput comparison.  
21 And just a reminder, with our List 1 chemicals with  
22 our test set of chemicals, they were evaluated both in  
23 low throughput and high throughput, providing for a  
24 dataset that's valuable and useful here.

1                   Question about the Tier 1 AR-binding  
2                   versus the high-throughput alternative that's being  
3                   proposed. Recognizing there are limitations with the  
4                   high-throughput approach. In many cases some of the  
5                   examples that were cited, metabolic conversion and  
6                   others as well, those are current limitations in the  
7                   low-throughput analysis also. Just some things to  
8                   keep in mind there. Maybe that one's not so much a  
9                   question after all.

10                   Let me turn it over to Dr. Bever for  
11                   additional clarification on the charge question. And  
12                   Dr. Judson, can I invite you up to address a couple of  
13                   issues as well.

14                   **DR. RONNIE JOE BEVER:** Okay. Well, we  
15                   actually had a meeting to make sure the charge  
16                   questions were clear. And to just reiterate, once  
17                   again, what Dr. Schappelle has said, we're proposing  
18                   this as an alternative. We're asking for comments and  
19                   suggestions, but the real question is, can this serve  
20                   as an alternative?

21                   One of the high-throughput assays in  
22                   the model is actually kind of like an upscale version  
23                   of the low throughput. Also, Dr. Judson had mentioned  
24                   that -- I mean, we're dealing with media. It's water.

1 Most of these water-soluble compounds, it should go  
2 just fine. We tested in DMSO, that carries a lot.  
3 And with cell systems -- and some of these assays are  
4 cell systems -- you can't necessarily use strong  
5 solvents. I mean, the other choice for the low  
6 throughput is ethanol. I don't really see that  
7 there's going to be any stream domain restriction  
8 here, but we are carrying out some of that  
9 investigation.

10 I'd like to point out, too, that the  
11 low throughput is not necessarily a gold standard. It  
12 is a validated study, we did comparisons with it, but  
13 that doesn't necessarily mean that the low throughput  
14 is superior to the high throughput. I really feel  
15 like it's very important to have reference chemicals  
16 come about through a systematic literature review.

17 And to talk about that domain of  
18 applicability, you realize that receptors require a  
19 certain structure to actually bind and elucidate the  
20 effect. Not every class of chemicals are going to be  
21 able to do that. It's just not going to work like  
22 that.

23 The androgen receptor and an estrogen  
24 receptor specifically are extremely important

1 therapeutically for various reasons, including hormone  
2 therapy of cancer. The point there is that there's  
3 been a lot of bright scientists looking into  
4 developing chemicals on these receptors. Thereby our  
5 systematic literature review was looking for any  
6 examples that we could use for our purposes as  
7 reference chemicals.

8 We feel like there has been no major  
9 losses like chemical classes at this stage. We feel  
10 like we have a very strong contingent of reference  
11 chemicals. At this stage, we're only proposing as an  
12 alternative for the low throughput. That doesn't mean  
13 that the Agency's efforts here are done.

14 As I described, there's a process here.  
15 We're going to further optimize; we're going to look  
16 into ways of integrating our assays, or adding more to  
17 our assays, so that there could be potential  
18 alternatives to, first of all, the Tier 1 in vivo.  
19 The Hershberger was mentioned. Of course, that's on  
20 the radar.

21 **DR. JAMES MCMANAMAN:** Dr. Bever, let me  
22 interrupt here. The point of this is to find out  
23 whether the panel's comments were clear to the Agency,

1 and not to have the Agency defend their approach.

2 Because we could be here until this time next year --

3 **DR. RONNIE JOE BEVER:** Okay. I'm  
4 sorry.

5 **DR. JAMES MCMANAMAN:** -- if we did that  
6 with every question.

7 **DR. RONNIE JOE BEVER:** I was just  
8 thinking there was some misunderstanding, so what are  
9 we presenting. I'm sorry. I'm through. I'll pass it  
10 to Dr. Judson.

11 **DR. JAMES MCMANAMAN:** Same thing for  
12 Dr. Judson.

13 **DR. SEEMA SCHAPPELLE:** Dr. Judson,  
14 there are a few issues that I noted down. Can I maybe  
15 just make sure that those are on your radar, as well,  
16 as we get going.

17 **DR. RICHARD JUDSON:** Yes. Just so long  
18 as I don't break the rules.

19 **DR. SEEMA SCHAPPELLE:** That's right.  
20 Please correct if I'm breaking them as well.  
21 Redundancy in the assays was brought up, higher  
22 concentrations for false-negatives, and then some of  
23 the issues with solubility. Hopefully, those are on  
24 your list as well.

1                   **DR. RICHARD JUDSON:** Well, I guess what  
2 I would say is all of the comments and questions from  
3 the committee were -- I disagree with many of them,  
4 but they were all very clear. Sorry.

5                   **DR. JAMES MCMANAMAN:** Perfectly fine  
6 answer, thank you. Okay, with that then, I think it  
7 is lunchtime. And so, we'll come back to charge  
8 question number 2 at 1:05.

9  
10                   **[LUNCH BREAK]**

11  
12                   **DR. JAMES MCMANAMAN:** To return to the  
13 task at hand, we're on Charge Question 2. And I've  
14 been asked to remind the panel that we had an open  
15 meeting in which we went over the charge questions to  
16 see about their clarity. I hope that that wasn't for  
17 naught because there seems to be many questions about  
18 clarity. Let's see if we can get through the  
19 questions on the scientific merits.

20                   Charge question 2, if we could have the  
21 Agency read that into the record please.

22                   **DR. RONNIE JOE BEVER:** Charge question  
23 2; based on the comparison of the performance of the  
24 high-throughput H295R assay, with the low-throughput

1 H295R assay and the effects of reference chemicals on  
2 the synthesis of testosterone and estradiol levels  
3 only, please comment on the suitability of the high-  
4 throughput H295R assay as an alternative to the low-  
5 throughput H295R assay. See sections 3.3 and 3.4.

6 **DR. JAMES MCMANAMAN:** Thank you. The  
7 panel for this is Dr. Belcher, Dr. Clewell, Jett,  
8 Nagel and Pullen Fedinick. Dr. Belcher is lead.

9 **DR. SCOTT BELCHER:** I'm going to go  
10 ahead and read a summary of the comments that were  
11 incorporated from the group members. And at the end,  
12 I'll ask Dr. Jett, who is on the phone, to add his  
13 comments that I have here on my phone, but were not  
14 incorporated into what I have. And these are summary  
15 comments. And the other panel members as well,  
16 please, if I get anything wrong or don't properly  
17 reflect the information that they've given to me.

18 The high-throughput H295R  
19 steroidogenesis for the measurement of E&T only, is  
20 felt to be based on generally well-conceived  
21 modifications of the existing validated low-throughput  
22 H295R cell-based steroidogenesis assay. This assay  
23 was modified to facilitate the analysis in 96-well  
24 cell culture format. Along with qualitative steroid

1 assessment and HPLC tandem-mass spec analysis of these  
2 multiple steroids.

3 Conceptually, the high-throughput H295R  
4 steroidogenesis assay is a scientifically sound  
5 alternative to the low-throughput assay. While there  
6 was a range of opinions expressed regarding the  
7 current ability of the high-throughput assay to  
8 substitute for the low-throughput assay, there were  
9 some important limitations identified that would not  
10 allow substitution of the high-throughput  
11 steroidogenesis assay for the low-throughput assay at  
12 this time.

13 The high-throughput H259R  
14 steroidogenesis assay -- which I'll probably for  
15 brevity start to just refer to as the high-throughput  
16 assay -- benefits from several strengths. Although  
17 there were also some specific concerns related  
18 primarily to sensitivity and reproducibility that were  
19 judged to limit the suitability of the high-throughput  
20 steroidogenesis assay as a replacement for the low-  
21 throughput assay for E&T.

22 It's felt that the incorporation of  
23 forskolin pretreatment to increase baseline steroid  
24 production in the assay was a positive modification

1 and a major strength for increasing the throughput.  
2 There was, however, some points raised regarding the  
3 findings for the use of forskolin as a test compound  
4 in the comparative analysis between the assays.

5 As it was done in Karmaus et al 2016,  
6 this would complicate this comparison. As was  
7 described, the high-throughput assay affects for  
8 forskolin must have been compared relative to the DMSO  
9 only baseline. Whereas, the other reference compounds  
10 would be compared to a forskolin pretreatment  
11 baseline.

12 Moving on, retaining an assessment of  
13 cell viability as part of the assay was also  
14 considered a strength. Although, the reduction in  
15 cell viability standard, from the 80 percent in the  
16 low-throughput assay to 70 percent, is considered  
17 worthy of further evaluation.

18 It is appreciated that 70 percent  
19 viability was indicated as the statistical limitation  
20 of the assay for this application. It is also  
21 considered likely that a 30 percent loss of viability  
22 could be biologically meaningful and likely to impact  
23 the assay results.

1 Additional justification for the  
2 appropriateness of the 70 percent viability cutoff is  
3 recommended. Along with the information supplied  
4 today, recommendations were put forth for evaluating  
5 the impacts of the findings on the results if the  
6 viability cutoff were to be set at 80 percent as it  
7 was in the low-throughput assay.

8 An additional suggestion was that  
9 possibly defining and investigating the utility of an  
10 appropriate cytotoxicity Z-score, as was done for the  
11 AR assays, should be investigated.

12 Additionally, the use of an alternative  
13 cell viability assay that is independent of  
14 mitochondrial reductase function was suggested.  
15 Another suggested characteristic of this alternative  
16 assay is that it might be less variable than the  
17 currently used MTT viability assay.

18 It was stated that there was a  
19 potential that uncoupling cell viability assessment  
20 from mitochondrial function may be more important, for  
21 assays evaluating steroidogenesis, than it would be  
22 for other endpoints. As was indicated earlier today,  
23 much of the steroid metabolism occurs in the  
24 mitochondria. It may also be valuable to examine and

1 consider viability and mitochondrial function  
2 independently.

3 It was also stated that for the set of  
4 reference chemicals used in the inter-lab analysis of  
5 the OECD guideline low-throughput steroidogenesis  
6 assay, the high-throughput assay appears to be  
7 performing with relatively less sensitivity. The  
8 sensitivities reported in Figure 3.8 of the whitepaper  
9 were found not to be acceptable for public health  
10 protection. And that the failure of the high-  
11 throughput H295R assay to accurately identify the  
12 estrogen and testosterone production disrupting  
13 reference chemicals was considered to render the  
14 assay, in its current form, inadequate to protect the  
15 health of populations.

16 There were some additional concerns  
17 related to replication or reliability in the high-  
18 throughput assay, and some of the approaches used for  
19 the comparative analysis. Firstly, it was not readily  
20 apparent if the performance of the high-throughput  
21 assay in an intra-laboratory performance assessment,  
22 across seven different laboratories, was the most  
23 appropriate matrix for the comparative evaluation. I

1 may have misspoken and said high-throughput, but I  
2 mean the low-throughput in that statement.

3           There was identified a general lack of  
4 replication, both technically and biologically. With  
5 the information presented, it is not possible to  
6 interpret the liability of these tests from run to run  
7 without additional specific information regarding the  
8 consistency of the results across replicates. The  
9 reliability of the assay analysis from day to day,  
10 across blocks, should be established. This concern  
11 extends to the ability to replicate results for future  
12 testing; as it was referred to earlier today, the  
13 concept of transportability.

14           It would have been useful, for example,  
15 for the Agency to report the independent retesting of  
16 chemicals, or a subset of chemicals, tested in the  
17 Karmaus paper to assess replicability across the time  
18 domain. Related more specifically to the comparative  
19 analysis of E&T, while it is indicated that 16 percent  
20 of the screened chemicals were analyzed in more than 1  
21 plate block, it is not indicated how many times the  
22 individual reference chemicals were analyzed.

23           Most test chemicals analyzed in the  
24 high-throughput assay were examined only once as

1 duplicate technical replicants in a single block. But  
2 one is left to assume that this is likely not the case  
3 for each of the reference chemicals. This is because  
4 the reference chemicals were analyzed by ANOVA and  
5 Dunnett's test for comparison with the low-throughput  
6 assay results.

7 It would therefore, thus, seem  
8 reasonable to assume that more than one biological  
9 replicate was analyzed. But the lack of specific  
10 information on the biological replicants makes it  
11 difficult to really compare the reproducibility of the  
12 results of the reference chemicals.

13 A few more general comments. There was  
14 also expressed, some concerns related to the inability  
15 to fully assess the appropriateness of the  
16 prescreening approach that was used. It is stated  
17 that the Karmaus paper found that over 50 percent of  
18 the samples, pulled randomly from the non-  
19 concentration response selected batches, produced an  
20 effect on at least one hormone.

21 The ability of the prescreen to miss  
22 these potentially endocrine-active chemicals was  
23 considered unacceptable, even from a screening

1 perspective. The goal of a screen is to cast a wide  
2 net with an eye on setting priorities.

3 And I'm going to move on. While the  
4 Agency gave information about the pathway method,  
5 compared to the validation efforts of the low-  
6 throughput assay, it did not give information on how  
7 the new test performed compared to Tier 1 and List 1  
8 tests. This was considered a limitation in the  
9 ability to assess performance.

10 An additional comment was made that  
11 current tests were considered limited as they do not  
12 adequately characterize activities of phthalates.  
13 These chemicals are known to interrupt the  
14 steroidogenesis pathway. And that is the extent of  
15 the comments that I have at this point.

16 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
17 Clewell.

18 **DR. REBECCA CLEWELL:** I think I agree  
19 with many of those points. We have some difference of  
20 opinion in this group, but I think all of the opinions  
21 are valid and should be considered. The conclusion of  
22 whether or not it's replaceable, at this point, is not  
23 unanimous, I would say, probably. Whether the low  
24 throughput could be replaced with the high throughput,

1 whether that's feasible right now, I don't know that  
2 we're all on the same page with that one.

3 **DR. KATIE PAUL FRIEDMAN:** Okay. I  
4 thought you said something else.

5 **DR. REBECCA CLEWELL:** It's not  
6 unanimous is what I was saying. And the reason I'm  
7 saying that is because I think that it would be  
8 reasonable to go forward with replacement of the low  
9 throughput with the high throughput. I do think that  
10 I would put some caveats with that. I would like to  
11 see more robust evaluation of the cytotoxicity  
12 measure.

13 And honestly, it would be possible to  
14 do a direct evaluation of mitochondrial function in  
15 the same plates as the steroidogenesis. And I would  
16 say that would be something that would be a very high  
17 priority, given all of the scientific expertise you've  
18 heard over the last two days with the knowledge that  
19 it's tremendously important to have significant  
20 mitochondrial function to get steroidogenesis.

21 That and the prescreening method -- and  
22 we went around about that yesterday, so I won't  
23 belabor it. It's just making it clear that what was  
24 done for one particular purpose, in a research and

1 development situation, is not necessarily the same  
2 implementation that would be taken with the EDSP.

3 I think there's a lot of people with  
4 strong misgivings about doing a high-dose prescreen,  
5 and eliminating chemicals from that in a EDSP  
6 evaluation-type effort. And so, we would certainly  
7 want a multipoint-dose response for this type of a  
8 situation. I guess that's it.

9 I just wanted to put it on the record  
10 that I don't think that the assay is not  
11 scientifically sound alternative for the low  
12 throughput. I do think that it's a sound alternative,  
13 but I do think that there are significant analyses,  
14 and maybe a few more validation experiments, that  
15 should be run if it's going to be used in a screening  
16 type effort.

17 It wouldn't really be that big of a  
18 work. I think the goal of NCCT is a good one and is  
19 to move quickly through a lot of chemicals. And  
20 that's partly the goal of EDSP. I get that too. But  
21 sometimes there is real value in taking a minute -- in  
22 taking a little bit of time to really validate your  
23 assay before you put it through thousands of  
24 chemicals.

1           A few more validation studies to look  
2           at multiple replicates, multiple batches, different  
3           types of statistical analyses, it would be valuable.  
4           It would tremendously shore up the case for the high-  
5           throughput version of the assay.

6           **DR. JAMES MCMANAMAN:** Thank you. Next  
7           up is Dr. Jett. David, are you on line?

8           **DR. DAVID JETT:** Yeah. Can you hear  
9           me?

10          **DR. JAMES MCMANAMAN:** Yup.

11          **DR. DAVID JETT:** Hello?

12          **DR. JAMES MCMANAMAN:** Yeah. We can  
13          hear you David. Can you hear us?

14          **DR. DAVID JETT:** Yes. I can, sorry  
15          about that. I'm sorry I couldn't be there today. I  
16          was there a little bit yesterday, but I'm home with a  
17          pretty bad head cold and that's why I'm not there. I  
18          had this bad dream of the CDC identifying me as a  
19          ground zero for this weird outbreak of illness among  
20          our nation's top endocrine disruptor scientists, so I  
21          decided to stay home.

22                 I guess I just have a few comments.  
23                 First, we have a highly-qualified group of ad hoc  
24                 members with far more expertise that I do in this

1 area, so I defer to their comments. Especially about  
2 the more detailed aspects of the assay.

3 My first comment would be this issue of  
4 fit for purpose, I'm very supportive of that. That  
5 is, really high-quality, rigorous and reproducible  
6 methodology, but a good match with available  
7 resources. I was a little concern about -- there was  
8 a comment in the whitepaper about, I think, including  
9 (inaudible) studies and aromatase assays and other  
10 things that were sort of a barrier within the  
11 prioritization process.

12 And I was just wondering whether these  
13 would be more appropriate for follow-along studies  
14 after initial screening. That was one area; and it  
15 may just be an misunderstanding of the process. That  
16 was the first one.

17 The second one out of the three was the  
18 pre-stimulation with forskolin. Admittedly, again  
19 without a full understanding of the steroidogenesis  
20 assay, the question that I had was whether this pre-  
21 stimulation affects sort of the dynamic range of the  
22 assay and its ability to detect chemical that  
23 stimulate rather than inhibit.

1           Again, this may have a simple answer  
2           from those that are more knowledgeable. For example,  
3           the dynamic range for up or down may be retained with  
4           this particular level of stimulation. Or perhaps it  
5           could be tweaked in that regard. The actual level of  
6           stimulation, if that's possible.

7           I do think EPA is aware of this. I saw  
8           a statement, I think on page 104 is what I have here,  
9           where they talked about this issue of not being able  
10          to detect. I think it was with a couple of chemicals  
11          that may have been less sensitive to E2 increases due  
12          to pre-stimulation with forskolin. I take that as  
13          they're aware of that.

14          And then the final one was the part  
15          about where significant affects were observed for a  
16          given hormone when two consecutive concentrations  
17          demonstrate a significant affect. And I was just  
18          wondering if there's been any thought as to whether  
19          the concentrations are far enough apart for this to be  
20          meaningful.

21          For example, you could have two only  
22          very high concentrations showing activity. I just  
23          thought maybe the Agency should be confident that this  
24          approach does not sort of undermine the whole purpose

1 of the multi-concentration approach. That's all I  
2 have. I'll send my comments through the email.

3 **DR. JAMES MCMANAMAN:** Thank you, David.  
4 Dr. Nagel.

5 **DR. SUSAN NAGEL:** I apologize, I think  
6 I thought I understood the question. And now it keeps  
7 going around and around a little bit. I just wanted  
8 to follow up on those two comments. Specifically,  
9 comment on the suitability of the assay as an  
10 alternative.

11 As is today is a different answer as  
12 the suitability assay in general. What I hear from  
13 EPA is that this is an ongoing process to optimize the  
14 assay. And we're giving tons of feedback today about  
15 how to do that. Yes, I think it is absolutely a good  
16 alternative, the high-throughput assay is.

17 Today am I convinced where it's  
18 primetime? No. I don't think it's primetime. Once  
19 again, I apologize if I am not still quite crystal on  
20 the question.

21 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
22 Pullen Fedinick.

23 **DR. KRISTI PULLEN FEDINICK:** I was  
24 looking through my notes, I think that everything may

1 have been covered that I wanted to discuss. That's  
2 great. I think just making sure that the test, again,  
3 should be more sensitive than specific. And so, I  
4 think for this particular comparison it's really  
5 important.

6 Especially for the T -- I think it was  
7 a T down with 55 percent or 67 percent depending on  
8 whether or not some of the chemicals were removed. I  
9 think it's really important from a public health  
10 perspective to ensure that the tests are sensitive. I  
11 think that everything else was covered. Thank you.

12 **DR. JAMES MCMANAMAN:** Thank you. Okay,  
13 I'll open it up to other panel members, this charge  
14 question. Any comments? Dr. Pullen Fedinick.

15 **DR. KRISTI PULLEN FEDINICK:** Sorry I  
16 forgot. There is one that I think wasn't mentioned.  
17 Did we say the Tier 1, List 1 chemicals? I don't  
18 remember if we said that or not. I'll just repeat it  
19 just for the sake of repeating it.

20 Being able to have the ability to look  
21 across those different tests to compare the high-  
22 throughput test to the List 1, Tier 1 test results,  
23 would be really important in terms of being able to  
24 evaluate these tests in their real-world applications.

1 DR. JAMES MCMANAMAN: Okay, thank you.

2 Dr. Clewell.

3 DR. REBECCA CLEWELL: I actually want  
4 to respond to one of the comments because I was  
5 actually watching Dr. Paul when the question was made.  
6 Because I thought maybe there was some  
7 misunderstanding. And maybe if we bring her up she  
8 could respond after, like we did before.

9 The stimulation with the forskolin used  
10 as a positive control. The question yesterday was  
11 that compared to DMSO alone or to forskolin pretreated  
12 control. It was to a forskolin pretreated control.  
13 Okay, so she's nodding her head. I wanted to make  
14 that clear because I think that question happened  
15 yesterday, and I sort of watched the miscommunication  
16 happen. But I wasn't sure if I was right. But now I  
17 think I am.

18 I think that that question of whether  
19 the forskolin, as a positive control, is allowable, I  
20 would say that it probably is and that was a minor  
21 miscommunication that happened yesterday, I think.  
22 Because it's on the record now that it was done one  
23 way, and if it was done the other way, I feel like  
24 it's important to put that on the record.

1                   **DR. JAMES MCMANAMAN:** Okay, thank you.  
2 Other comments. Okay, seeing none. Back to the  
3 Agency.

4                   **DR. SEEMA SCHAPPELLE:** I don't have  
5 anything to add at this time. But I will offer to Dr.  
6 Bever or Dr. Paul-Friedman if they'd like to add  
7 anything.

8                   **DR. JAMES MCMANAMAN:** My question is  
9 whether the recommendations and the comments were  
10 clear?

11                   **DR. RONNIE JOE BEVER:** Yes. They were  
12 clear to me. I thank you for your input. I will pass  
13 it to Dr. Paul-Friedman.

14                   **DR. KATIE PAUL FRIEDMAN:** Just to  
15 respond to Dr. Clewell and the comment made about  
16 forskolin pretreatment and then forskolin used as a  
17 positive control. Dr. Clewell is correct. We did  
18 forskolin pre-stimulate washout, and then forskolin  
19 was treated just like a test chemical. And so, the  
20 comparison is back to the forskolin pretreatment.  
21 That's how that work was done. It was treated  
22 essentially like an experimental test chemical, like  
23 any other chemical in the set.

1 I wanted to provide that clarification  
2 on the record. I think everything else was really  
3 clear, and I appreciate the comments that were made.

4 **DR. JAMES MCMANAMAN:** Okay, thank you.  
5 Well, that ends this charge question. We'll move on  
6 to the third.

7 **DR. RONNIE JOE BEVER:** Question 3;  
8 please comment on the strengths and limitations of  
9 integrating multiple hormone responses beyond  
10 testosterone and estradiol -- i.e., using 11 hormones  
11 versus 2 hormones -- in a pathway-based analysis of  
12 the high-throughput H295R assay. Please comment on  
13 the suitability of this high-throughput H295R pathway  
14 model, using 11 hormones, to serve as an alternative  
15 to the low-throughput H295R assay. See Section 3.7.2.

16 **DR. JAMES MCMANAMAN:** That's not the  
17 charge question that I have listed in front of me. I  
18 see it's on the board up there. Does the panel have -  
19 - it's the same one? Oh, in the handout? Okay.  
20 Good. That's important. I'm hoping that the member  
21 assignment is correct. Dr. Androulakis, Dr. Clewell,  
22 Dr. Ehrich and Dr. Nagel are on this charge question.  
23 And Dr. Androulakis is the lead.

1                   **DR. IOANNIS ANDROULAKIS:** Thank you.  
2                   Once again, what I'll do is I'll summarize our  
3                   thoughts and then my colleagues will correct anything  
4                   that I said that is wrong. One comment that I would  
5                   like to make, if I may, this was kind of an  
6                   interesting question, because literally, 3 sits  
7                   between 2 and 4. Because for us to answer 3, first of  
8                   all we need to have a good assay, which is really what  
9                   2 was. And then for that to make sense, you need to  
10                  have a good statistical method, which is what 4 is.

11                  The reason why I'm saying this is  
12                  because you will hear certain things that you've  
13                  already heard in the discussion of Charge Question 2.  
14                  And I'm going to guess that we may mention certain  
15                  things that you'll hear again when we discuss Charge  
16                  Question 4.

17                  In terms of the strengths, we feel that  
18                  the high-throughput assay does monitor obviously  
19                  several hormones encompassing a simplified network of  
20                  cross-regulatory element along the steroidogenesis  
21                  pathway. As such, the advantage that it offers is  
22                  that it enables more of an integrated response as  
23                  opposed to the isolated elements, E2 and T.

1           The analytical system has the potential  
2 of offering higher sensitivity, since not one has the  
3 options or the opportunity. Again, assuming that all  
4 the issues with the assay have been resolved, so now  
5 you can actually measure coordinated responses as  
6 opposed to more isolated elements along the pathway.

7           The 11 hormones presented for distinct  
8 classes; so that was felt that it does add significant  
9 diversity to the measurement. It's felt that the  
10 ability to measure these multiple elements has the  
11 potential of not only proving the accuracy of  
12 predictions, but a may be -- and this is something  
13 that was also mentioned yesterday -- to provide some  
14 additional mechanistic insight and information, and  
15 maybe mode of action or things like that.

16           The two assays use the same cell line.  
17 So, the assumption is that, okay, whatever we learn  
18 from one assay can be transferred to the next. The  
19 network of the 11 hormones basically builds on the  
20 already existing two one, so it's an augmentation of  
21 the future vector.

22           The ability to measure these multiple  
23 hormones, and the complex part that emerge -- and I'll  
24 come back to that in a minute -- they really

1 demonstrated that exposure to chemicals is far more  
2 complex. And that most likely provides further  
3 evidence as to why one should be moving towards these  
4 more high-throughput and integrated pathway-based  
5 approaches.

6           It's felt that pretty much the high-  
7 throughput assay performs comparably to the low-  
8 throughput assay. And I'm primarily referring to the  
9 confusion matrices when E2 and T were measured,  
10 especially after the revised metrics and so on.

11           Again, even though this will probably  
12 be discussed in a minute in Question 4, but we also  
13 feel that the development of the distance metric was  
14 important. Because it's not enough to generate 11  
15 dimensional vectors, you have to be able to do  
16 something with them. You also need to have the  
17 ability to analyze and really process your data.

18           We also believe -- and again, that's  
19 something that is also mentioned in the whitepaper --  
20 that in the long run, and again along the idea of  
21 moving more towards hopefully this dynamic and kinetic  
22 model, the ability to measure things at a pathway  
23 level, even if the pathway is sort of somewhat  
24 arbitrarily constructed, will definitely provide

1 critical information for moving towards more  
2 qualitative systems toxicology models.

3           However, the group felt that there are  
4 a few limitations that sort of need to be addressed.  
5 And as you will see, most of them really relate to the  
6 assay. It's felt that the way it is implemented --  
7 the high-throughput assay -- and I believe that was  
8 also mentioned by EPA yesterday -- has lost some of  
9 the advantages, such as the low-throughput assay has  
10 been validated across multiple laboratories, there are  
11 fewer technical and biological replicates.

12           Despite the fact that the confusion  
13 matrices indicated some strong correlation between low  
14 and high-throughput assessment, it was not exactly  
15 clear -- at least based on the results that were  
16 provided -- whether these trends would remain valid as  
17 one starts moving towards the integrated metric as  
18 expressed through the Mahalanobis distance.

19           And especially when you move to  
20 chemicals that actually seem to be activating surer  
21 hormones. Now all of a sudden you have a future  
22 vector that has a few dominating components, but then  
23 maybe an equal amount of hormones that basically  
24 exhibit lack of response.

1                   One of the points that was also  
2 mentioned in the public comments, is that on one hand  
3 the Venn diagrams were very informative; and  
4 informative in the sense that they demonstrate that  
5 what we observed is really a complex response that  
6 needs to be thoroughly represented. But at the same  
7 time, interpreting this result was not clear.

8                   Just a few numbers if we look at the  
9 data, there is a least 400 chemicals that impacted  
10 only 1 or 2 hormones. There's 300 chemicals hitting  
11 between three and five. Then there is about 307  
12 chemicals that hit all 4 pathways. It seems as if a  
13 lot of things are becoming very promiscuous. The  
14 question is, it's good to have this information, but  
15 then it's not exactly clear, or it's not discussed  
16 very thoroughly how one would expect to sort of manage  
17 that kind of information.

18                   Another point that was brought up is  
19 that yes, measuring these 11 hormones, especially in  
20 the context of a pathway, is extremely valuable. But  
21 however, to provide support about the clear need for  
22 the added hormone measurements, it would have been  
23 nice if there is some kind of a comparison of how many  
24 chemicals would be called a hit.

1           If one looks at, let's say, E2 and T,  
2 versus if one were to look at a combined score. I  
3 guess, in other words a way to rephrase this comment  
4 is, are significantly more chemicals identified when  
5 the additional hormones are measured? That's, I  
6 guess, is the simple way to phrase that point.

7           The whole discussion we also had this  
8 morning, the application of the cutoff of the at least  
9 three hormones being changed. It's something that  
10 probably has to be revisited. Again, it was  
11 emphasized -- also in the morning, but also yesterday  
12 -- that a lot of that was kind of a decision that was  
13 based on adding the resources in developing this high-  
14 throughput assay.

15           However, an interesting question would  
16 be, what are the results that basically indicate that  
17 hormones should become activated? What does that  
18 really mean? Is there anything there that maybe we  
19 have missed?

20           The Mahalanobis distance, again, I  
21 realize that it's the charge of question 4, but in  
22 this context, we believe, it does become important.  
23 There was a lot of discussion about what happens when

1 you have a lot of hormones that are active, and then  
2 you basically have a more dense vector.

3 But still, the question is what happens  
4 when you have like weakly-active chemicals. What  
5 happens if you hit one or two or even three of these  
6 hormones? I guess the point here is a little bit more  
7 complicated because one would have to probably look at  
8 this data, because otherwise the question is, is this  
9 analysis, the way it's been presented so far, sort of  
10 bias more towards chemicals that appear to have a more  
11 across the pathway affect, as opposed to chemicals  
12 that maybe they hit some targets upstream, but then  
13 they don't propagate.

14 The question is, let's make sure that  
15 the analysis based on the combined score is not sort  
16 of bias towards things that appear to have a more  
17 profound and broad affect. I guess the committee's  
18 question was whether this has not been done, whether  
19 the suggestion is it should be done; and if it has  
20 been done, we think that this information is worth  
21 sharing.

22 One of the things that was sort of also  
23 brought up is that, yes, a lot of hormones are  
24 measured. However, it was felt that there is a lack

1 of reference in terms of the additional components  
2 that are being added.

3 The way we understand it, is there is  
4 some information about at least the two hormones of  
5 the low-throughput assay, but there is no positives or  
6 negatives for the remaining hormones. Having this  
7 would actually be important.

8 There was some mention, and we talked a  
9 lot earlier also today about this whole issue with the  
10 DMSO, water, whatever. But as a group we kind of felt  
11 that this is not something that is special to the high  
12 throughput. It's probably something that could be  
13 discussed, but not in this context.

14 As was discussed earlier, the whole  
15 issue of cell viability -- and again, also earlier  
16 there were discussions about if they are maybe  
17 analyzing the results and introducing either the Z-  
18 score, maybe finding a different viability set test  
19 and so on. But we feel like at the end of the day the  
20 question is whether the, sort of, limiting of the 70  
21 percent viability, does that skew the results? Do you  
22 feel there is evidence that it does?

23 We felt it would also be interesting to  
24 see what would happen if the viability cutoff were to

1 increase. In other words, the question would be how  
2 sensitive is the method to the selection of some of  
3 the parameters that are used for determining the kinds  
4 or types of data that's revived?

5           Increasing the dimensionality of the  
6 feature vector, going from 2 to 11, definitely moves  
7 in the right direction in the sense that it does  
8 increase the information content. But it does make  
9 the interpretation a lot more difficult. That's where  
10 in charge question 4 comes into the picture. And  
11 basically, the point being that it's nice to be moving  
12 in the higher dimension, but then at the same time  
13 let's make sure that everything else sort of keeps up.

14           To summarize, overall, we felt that the  
15 high-throughput assay offers significant advantages  
16 over the low-throughput assay. Measuring the multiple  
17 hormones in conjunction with the development of this  
18 integrative statistical method is important. It's  
19 definitely moving in the right direction.

20           We feel that the high-throughput test  
21 can and should serve as an alternative once  
22 everything, of course, has been realized. However,  
23 again, much like the group before, although we feel  
24 that this is a scientifically sound alternative -- the

1 high-throughput assay to the low-throughput assay --  
2 there are specific assay condition questions.  
3 Viability for instance was one of them, as well as  
4 analysis methods, multiple hormone effect, cut off,  
5 the scores, what happens when you have low-hit count  
6 and so on, that have to be addressed before an  
7 implementation takes place.

8 Also, the issue of generating data when  
9 positive or negative controls do not exist. How do we  
10 assess and evaluate that? We feel that this could be  
11 very important for prioritization or hazard ID.  
12 That's pretty much our summary. Thank you.

13 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
14 Clewell.

15 **DR. REBECCA CLEWELL:** I think Ioannis  
16 did a good job of summarizing because there were a lot  
17 of comments he had to summarize. I just sort of  
18 pulled numbers from a plot. They're not going to be  
19 totally perfect numbers.

20 When I looked at that plot that we went  
21 over several times yesterday, at least 400 chemicals  
22 only changed 1 or 2 hormones. Of approximately 1,600  
23 to 2,000 chemicals, about 400 of them changed 1 to 2

1 hormones. About 300 hit 3 to 5 hormones. And about  
2 300 chemicals hit 5 or more hormones.

3 And then when we look at the Venn  
4 diagram, we see that 307 chemicals hit all four  
5 pathways. The conclusion from that was that most  
6 chemicals hit most pathway. But that's actually not  
7 the case. That's less than a third of the chemicals  
8 that hit most of the pathways.

9 That's not most chemicals, that's one-  
10 third of the active hits. And even less of a  
11 percentage of the overall chemicals that were tested.  
12 I think that that's being overstated. I think it's  
13 worthwhile to look at, but I think it needs to be  
14 looked at in a couple different ways; to say whether -  
15 - if we want to make the claim that a rollup of the  
16 pathway is a more sensitive way to look at a  
17 steroidogenic effect, than the analysis needs to be  
18 done in some additional ways.

19 And what I would like to see is  
20 something where we actually look at what if we used  
21 one or more chemicals. What if we used two or more  
22 chemicals? What if we used three or more. I mean,  
23 just a systematic evaluation of what happens to the  
24 numbers when we do that.

1           Ioannis mentioned this a little bit,  
2           but I wanted to highlight it. I worry that what  
3           happens if we only look at the chemicals that affect a  
4           lot of -- and I could see why you'd say oh it's more  
5           important if they affect a lot of the hormones versus  
6           one hormone. But not necessarily. And we bias  
7           towards the upstream of the pathway.

8           Everything is dependent upon  
9           pregnenolone and progesterone. If we hit one of those  
10          guys, everything else is going to fall out. But that  
11          doesn't mean that something that specifically hits  
12          cortisol or specifically hits estrogen, is not a  
13          concern. What I'd like to see is a bit more analysis  
14          around that. And a bit more analysis around the  
15          Mahalanobis score -- which I might be saying wrong --  
16          just to demonstrate that it would work as well for  
17          weakly-active chemicals that only hit one or two  
18          hormones, as it does for weakly-active chemicals that  
19          hit many hormones.

20          You may have a gut feeling that it does  
21          that. And you may have done those analyses. And if  
22          that's the case, wonderful; and then I just think it  
23          maybe needs to be added to the record. But I wasn't  
24          able to find that information or I missed it. And I

1 think that's important. Because honestly, from a  
2 public-health standpoint, if it hits progesterone or  
3 if it hits estrogen, either way it's a concern.

4 And that's all just on the analysis  
5 side of things that I think would really shore up the  
6 use of this. Because really intuitively it makes  
7 sense to try to use a more comprehensive steroid-  
8 pathway approach. I like the approach and I think  
9 some simple analyses would make it a much stronger  
10 argument.

11 One of the comments that was said this  
12 morning -- I don't remember who -- said something  
13 about a 30-percent hit rate. And that does seem to be  
14 about right. And if that's the case, that's pretty  
15 high.

16 We have done, in my lab, an evaluation  
17 of the hit rate for ToxCast assays overall. The  
18 average hit rate -- at least from the 2015 release of  
19 data -- is 14.7 percent. The average assay hits for  
20 about 14.7 percent of the chemicals. And only about  
21 16 percent of all the assays in ToxCast have more than  
22 a 30-percent hit rate.

23 It has to be a pretty permissive assay  
24 to get a 30-percent hit rate, is what it appears from

1 our analysis. If you're getting a 30-percent hit rate  
2 for steroidogenesis, the conclusion is that  
3 steroidogenesis is one of the more common -- the  
4 logical kind of conclusion would be that  
5 steroidogenesis is one of the more common chemical  
6 pathways. And I don't think the in vivo data bears  
7 that out.

8 All of this is sort of an intuitive --  
9 I haven't run all of the numbers, but some of the  
10 numbers. And I think it's worth going back and  
11 looking at that and saying so why are we getting a 30-  
12 percent hit rate. Are we getting it because -- if  
13 that 30 percent is correct. Because I have to mention  
14 the cytotoxicity thing.

15 I wanted to specifically say, from my  
16 own experience, I have run a lot of steroidogenesis  
17 assays in Leydig cells, rat Leydig cells. Never the  
18 H295R. And in that case, as little as 10 percent  
19 reduction in ATP consistently correlated with a drop  
20 in steroidogenesis whether I was using a positive or a  
21 negative control.

22 Even in negative controls, in known  
23 negative controls, a 10 percent ATP drop was a cutoff  
24 for me. Because even in negative controls, I would

1 see a reduction in testosterone. And I wasn't doing  
2 an 11-hormone panel, but I think that's important.  
3 ATP measures mitochondrial health; and those two are  
4 just so intricately related that, once again, we come  
5 back to we really need a measure of mitochondrial  
6 health. And we need to do a more careful evaluation  
7 of a cutoff there.

8 And then finally, the recommendation --  
9 we don't have positive controls for the glucocorticoid  
10 pathway or for progestins. And I think it's valuable  
11 to have these as a prioritization tool where I think  
12 there is some caution to be had. And what I would  
13 like to see is a recommendation for how do we use  
14 something like that as a prioritization tool. And not  
15 accidentally trip into a hazard ID.

16 If we see something like this where we  
17 don't have positive controls, but the overall rollup  
18 of the pathway is so consistent with previous  
19 steroidogenesis assays, that we say it's good enough.  
20 We have the numbers, we're going to go forward with  
21 our prioritization. But we also have, on the record,  
22 inhibition of cortisol, or increase in cortisol. And  
23 how do we refrain, or prohibit, a sort of preemptive  
24 or early -- premature is the word I'm looking for --

1 hazard identification in that way. I end with that.

2 I will turn off my microphone.

3 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
4 Ehrich.

5 **DR. MARION EHRICH:** I think I have to  
6 compliment the EPA. This is pretty complicated.  
7 Reading this Karmaus paper, it sounds like you could  
8 do LC-MS/MS with the same well so you could get all of  
9 those from one sample, which is pretty impressive.  
10 And that is a very sensitive method.

11 One always has to be aware that when  
12 you're measuring levels, it doesn't necessarily mean  
13 functional change, but it's the best you have. I  
14 think it's good that you're doing that. And you've  
15 already recognized that you have only limited  
16 capability for handling metabolites and so forth. But  
17 the system has a lot of cytochrome P450s in it, so  
18 it's better than many. That was with the low-  
19 throughput assay, but I think you've chosen well to  
20 use the same cell system that was being used there.

21 Basically, that's pretty much what I  
22 have to say. But I think this is a step forward for  
23 sure.

24 **DR. JAMES MCMANAMAN:** Dr. Nagel.

1                   **DR. SUSAN NAGEL:** I think the only  
2 thing I have to add is just -- and you probably have  
3 this. But I didn't think to ask for it earlier, of  
4 just a vary simplistic, direct comparison of relative  
5 sensitivity of the assay. So, for IC50s and AC50s for  
6 the chemicals between the two assays. And you  
7 probably have that, but I would love to see it.

8                   And most definitely making that really  
9 transparent. Because when you jump to the extremely  
10 complex integration of the data, with the model, and  
11 in fact, just a simplistic view of it would be, I  
12 think, extremely important for sensitivity.

13                   **DR. JAMES MCMANAMAN:** Okay. This  
14 charge question is open to the rest of the panel.  
15 Anyone has comments? Yes, Dr. Pullen Fedinick.

16                   **DR. KRISTI PULLEN FEDINICK:** I had a  
17 question, actually, for the folks who were on this.  
18 Were you saying that the assay has potential to be  
19 used as an alternative for the low-throughput assay,  
20 but it's not there yet? If there was a single line to  
21 answer this question.

22                   **DR. SUSAN NAGEL:** That is correct.  
23 That is what I'm saying.

1                   **DR. JAMES MCMANAMAN:** Okay. That was  
2 Dr. Nagel.

3                   **DR. REBECCA CLEWELL:** I would say that  
4 yes, it has great potential to replace and even be  
5 better than the low throughput. Particularly, if you  
6 can involve the multiple hormones. I think there's  
7 real advantage there. And I do want to highlight  
8 that, because I just said some fairly tough comments,  
9 I guess.

10                   I would say there's real value and I  
11 don't think it's far away from being replaceable. And  
12 it's mostly all on the analysis end to shore up  
13 confidence.

14                   **DR. JAMES MCMANAMAN:** All right, thank  
15 you. Other comments? All right, then I think we'll  
16 move on to the next charge -- oh, I'm sorry. Go back  
17 to the Agency.

18                   **DR. SEEMA SCHAPPELLE:** Nothing from me.

19                   **DR. KATIE PAUL FRIEDMAN:** I just wanted  
20 to respond to Dr. Nagel's comment about potency  
21 comparison. There is a really simplistic table that  
22 does that. And admittedly, there are quite a few  
23 supplemental files to this chapter. I believe it's  
24 supplemental file 10, is a table where it actually

1 looks at the hit call in low throughput and high  
2 throughput. And then the LOEC, as reported by the  
3 OECD intra-laboratory validation versus the MTC  
4 concentration from high throughput.

5 And then there's some notes. There's a  
6 table that makes that comparison. Not very  
7 sophisticated, but very simple laying out chemical by  
8 chemical.

9 **DR. JAMES MCMANAMAN:** Okay, thank you.  
10 If everything's clear, we'll move on to the next  
11 charge question. Charge Question 4.

12 **DR. RONNIE JOE BEVER:** Question 4; the  
13 work herein presents a novel statistical integration  
14 of multiple hormone responses indicative of steroid  
15 biosynthesis in the high-throughput H295R assay. A  
16 summary statistical metric, the maximum mean  
17 Mahalanobis distance, has been suggested as a tool for  
18 use in prioritization of chemicals.

19 In addition to the use of the maximum  
20 mean Mahalanobis distance to indicate the magnitude of  
21 potential effects on the steroid biosynthesis pathway  
22 expressed in H295R cells, an examination of the  
23 hormone responses that contribute, to the maximum mean  
24 Mahalanobis distance, may provide valuable biological

1 information to inform the weight of evidence  
2 evaluations performed for chemicals subjected to the  
3 EDSP Tier 1 evaluation.

4 Please comment on the strengths and  
5 limitations of using the maximum mean Mahalanobis  
6 distance. And the pattern of steroid hormone  
7 responses in the high-throughput H295R assay for  
8 chemical prioritization and weight of evidence  
9 applications. See section 3.2.4, 3.3.2 and 3.7.2.

10 **DR. JAMES MCMANAMAN:** Thank you. The  
11 members of this charge question are Drs. Berrocal,  
12 Androulakis, Barr, Pennell and Weller. Dr. Berrocal  
13 is lead. You're up Veronica.

14 **DR. VERONICA BERROCAL:** Yes. I will  
15 read the summary of the comments I received from some  
16 of the panel members that were assigned to this  
17 question. I would say oftentimes members of the  
18 panel, but I'll just refer to the panel that responded  
19 to this question and sent me comments.

20 Members of the panel believe that the  
21 efforts of the Agency, to consider multiple hormone  
22 responses simultaneously, is a great attempt at  
23 obtaining an integrated and comprehensive indication

1 of the magnitude of the potential effect of a chemical  
2 on the steroidogenesis pathway.

3 In reviewing the proposed maximum mean  
4 Mahalanobis distance approach, as a tool for  
5 prioritization of chemicals, we have identified the  
6 following strengths and limitations. I will start  
7 with the strengths.

8 The proposed approach for assessing  
9 steroid biosynthesis generates multidimensional data,  
10 11 hormone responses for each chemical of various  
11 concentration. The maximum mean Mahalanobis distance  
12 is a way to summarize this multidimensional data into  
13 single scale or quantity.

14 For as exotic as it might seem to non-  
15 statistically trained individuals, the metrics  
16 proposed by EPA has close ties to quantities used in  
17 statistics, such as Hotelling's T squared test  
18 statistics. To test whether there are significant  
19 differences between two groups, one looking at  
20 multidimensional data. And this is also mentioned in  
21 the whitepaper.

22 More loosely, the mean Mahalanobis  
23 distance can be thought as the multidimensional  
24 equivalent of the disease score derivation that is

1 done for a univariate normally-distributed  
2 observation. And so, it can be used to flag outliers.

3 An advantage of using these metrics is  
4 that it allows to combine measurements of multiple  
5 hormone responses into a single summary measure,  
6 accounting for the second moment of the sampling  
7 distribution; which means accounting for the variability  
8 of each individual hormone response measurement, as  
9 well as the correlation among the various hormone  
10 responses measurement.

11 Working with such a metric allows to  
12 control for high-variable hormone responses. And also  
13 allows a way to deal with the multiple testing  
14 adjustment that one would have to use if tests for  
15 each hormone were conducted separately.

16 It has been brought up that the  
17 Mahalanobis distance is an appropriate outlier  
18 detection only for multivariate normal data. And  
19 concerns are being raised about whether the data  
20 considered here is normally distributed. While some  
21 panel members believe that this might be a concern  
22 potentially of marginal importance, I personally  
23 believe that the type of data considered here has been  
24 already assessed to be normal as it's mentioned in the

1 paper by Zhang, Chung and Oldenburg, which is  
2 referenced in the whitepaper.

3           Additionally, it is important to notice  
4 that the mean Mahalanobis distance, in the proposed  
5 framework, is used on the log hormones response and  
6 not on the raw data, which potentially would have a  
7 skewed distribution if it's told in the univariate  
8 sense.

9           It is also important to notice that the  
10 whitepaper indicates prioritization of chemical based  
11 on the maximum mean Mahalanobis distance, over  
12 concentration, which would yield a conservative  
13 approach for flagging a chemical as an outlier with  
14 respect to the control. Those are the strengths.

15           In terms of limitations, it is unclear  
16 what type of effect of a chemical on a steroidogenesis  
17 pathway would the proposed maximum mean Mahalanobis  
18 distance metric approach tend to flag. It is the  
19 intuition of the panel members that this approach  
20 would tend to flag mostly chemicals that deviate from  
21 the expected relationship between hormone responses.  
22 And it would not allow to prioritize chemicals that  
23 displays absolute different from control regardless of  
24 the sampling distribution of the residual. It would

1 be great to investigate if such statements are  
2 correct.

3 More specifically, panel members  
4 believe that the maximum mean Mahalanobis distance  
5 metric might result in prioritizing a chemical that  
6 has relatively small absolute difference from control  
7 in any single hormone, but unusual combination of  
8 hormone responses with respect to the sampling  
9 distribution of the residuals, above another chemical  
10 which would have very large deviation from control,  
11 but which fall closer when adjusting for typical  
12 correlation structure.

13 Another example would be the following;  
14 two hormones could be highly correlating in a positive  
15 direction. If one of the hormone levels is above  
16 control level at certain dose than the other, it would  
17 be expected to be also above control level at that  
18 dose for the other hormone. If instead, the other  
19 hormone is below the control at that dose, the  
20 Mahalanobis distance metric could be large, even if  
21 the individual levels of the two hormones aren't very  
22 different from control.

23 Another issue is the identification of  
24 critical values in Type 1 error rate. The approach

1 uses critical values from a method developed by  
2 Nakamura Imada in 2005, which requires equal sample  
3 sizes across comparison and non-covariance matrix.  
4 Neither of which is actually the case in the analysis  
5 presented in the whitepaper.

6 As mentioned in the whitepaper, nominal  
7 Type 1 error rate will not be achieved. The  
8 whitepaper implies that a Type 1 error rate is  
9 approximated under this approach. However, without  
10 any numerical result to support this assertion, you  
11 have to know how close this approximation is.

12 Some panel members have the following  
13 suggestions. Perform extensive stimulation studies  
14 evaluating the Type 1 error rate of the proposed  
15 method, using the data in the report as motivation for  
16 the simulation setting. If simulation studies have  
17 already been performed, then they should be cited.  
18 Such studies are vital if this methodology is going to  
19 be a standard methodology going forward.

20 It's not clear also why 1 percent Type  
21 1 error rate was used instead of the more conventional  
22 5 percent Type 1 error rate. Was this used because of  
23 concern of an inflation of the Type 1 error rate?  
24 Simulation studies can also help determine whether

1 this is an adequate correction, or if it is too  
2 conservative.

3 Another issue is the estimation of the  
4 covariance matrix. The mean Mahalanobis distance is  
5 dependent on the knowledge of the covariance matrix.  
6 From the description in the whitepaper, it appears  
7 that all data that was now removed, for several  
8 reasons, was used for estimation of the sample  
9 covariance matrix. Regardless of whether the data was  
10 relative to a control chemical or not, regardless of  
11 the mode of action of a chemical, and regardless of  
12 the concentration value.

13 This is a statement that I'm making as  
14 a statistician who doesn't understand, very well, the  
15 biology. It might be plausible from a biological  
16 point of view that the correlation between hormone  
17 responses would differ depending on the type of  
18 chemical, control versus chemical, and the  
19 concentration level.

20 The whitepaper, on page 78, suggests  
21 that all the available data was used, and that this  
22 will ensure a large sample size and that the  
23 estimation of the covariance matrix is precise. I  
24 personally am not sure about whether this statement is

1 valid, given what I just stated in terms of the  
2 concentration and the type of mode of action of a  
3 chemical having an impact on the correlation between  
4 hormone response.

5 My fear is that the estimates of the  
6 viability in the hormone response might be overly  
7 inflated, and that the estimates of the correlation  
8 might be attenuated. And that could have consequence  
9 in terms of an inflation of the Type 2 error rate. I  
10 think it's important that there are efforts to  
11 investigate how to more efficiently estimate this  
12 sample covariance matrix.

13 There are also other specific comments  
14 that have been raised in the whitepaper regarding  
15 specific details. For example, how was the values  
16 below a limit of detection handled. Or the reason  
17 that two hormones were excluded. Another issue was  
18 the critical value and the critical limit that were  
19 used interchangeably in the paper. And some other  
20 issue regarding the calculation of the confidence  
21 interval.

22 In summary, and this is, again, a  
23 statement that I think summarizes the view of the  
24 people that sent me comments. Some panel members

1 explicatively stated that while this might not be an  
2 optimal statistical approach to integrate multiple  
3 hormone responses, due to some of the limitations that  
4 I just mentioned, this might not be the only approach  
5 as there are other methods to compute distances. For  
6 example, the Tukey distance, in which Dr. Weller can  
7 provide more information.

8 It should be recognized that this is a  
9 step in the right direction in the effort of  
10 developing a framework to assess chemical's potential  
11 for effect on steroidogenesis. And it is fit for  
12 purpose, which is what the EPA charge question is  
13 asking to comment on.

14 **DR. JAMES MCMANAMAN:** Thank you, Dr.  
15 Berrocal. Dr. Androulakis.

16 **DR. IOANNIS ANDROULAKIS:** I don't think  
17 I have anything to add.

18 **DR. JAMES MCMANAMAN:** Dr. Barr.

19 **DR. DANA BARR:** I have nothing to add  
20 either.

21 **DR. JAMES MCMANAMAN:** Dr. Pennell.

22 **DR. MICHAEL PENNELL:** Most of my  
23 comments were captured there in that summary. And  
24 overall, I agree that this is a step in the right

1 direction. But since you asked for limitation,  
2 there's one additional one I did have. Not saying I  
3 have a solution, but it is a limitation. The fact  
4 that this methodology is just a very general  
5 methodology.

6 You're using it as a method to flag  
7 pathway affects. Because you're giving it that type  
8 of name, it seems like it would be preferable -- it  
9 would be a methodology which actually incorporates  
10 knowledge about the pathway, rather than just using  
11 some generic method for multi-variate data. I think  
12 that's it.

13 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
14 Weller.

15 **DR. GRANT WELLER:** All my comments have  
16 been captured primarily by Drs. Berrocal and Pennell.  
17 Just to follow up on a reference that Dr. Berrocal  
18 made. There are other alternatives for calculating  
19 sort of distance of outlying this in multi-variate  
20 data. The one that Dr. Berrocal referenced is the  
21 Tukey's half-space depth, which I can add a reference  
22 too in the written summary.

23 But for the purpose of sort of  
24 ordering, or determining which chemicals are sort of

1 most outlying or most extreme, it might be an  
2 alternative to at least benchmark or compare the  
3 results to the existing method, which is the  
4 Mahalanobis distance.

5 **DR. JAMES MCMANAMAN:** Thank you. I  
6 guess we can open it up to other members of the panel  
7 now.

8 **DR. KRISTI PULLEN FEDINICK:** Sorry for  
9 so many questions. I just had a clarifying question.  
10 When you say it's fit for purpose, what did you mean  
11 by that? I didn't quite understand that.

12 **DR. VERONICA BERROCAL:** This is  
13 actually a comment that I think resonated with what  
14 Ioannis said. Maybe he can address.

15 **DR. IOANNIS ANDROULAKIS:** When we  
16 discussed it, I guess, the point here is not assess or  
17 evaluate that if you can do it in different ways, try  
18 all of them and pick the best one. The way I sort of  
19 interpret that, is that there's a question. The  
20 question is you have this pathway representation; and  
21 what you really try to do, is you really try to rank  
22 things. And this is one way of ranking.

23 I think the assessment should be, you  
24 know, as Veronica sort of outlined, what are the

1 limitation in terms of providing a somewhat correct or  
2 represented ordering. And not so much as to whether  
3 you can use, you know, five other different metrics.

4 That's what I meant. It's fit for  
5 purpose is a specific question, at least that's how I  
6 understand it. This is one way of answering the  
7 question. Therefore, it's fit for that purpose.

8 **DR. KRISTI PULLEN FEDINICK:** If I can  
9 just clarify that too. You're saying essentially what  
10 was said for the last one, that there is a lot of  
11 potential in these methods, but there's still progress  
12 that needs to be made before they can be implemented  
13 for prioritization in weight of evidence applications?  
14 Just to -- is that -- Okay.

15  
16 **DR. JAMES MCMANAMAN:** Thank you. I  
17 have a question for Dr. Pennell, or for the panel for  
18 that matter. You mentioned that it would be better if  
19 you could adapt a method that included information  
20 about the pathways. Does such a thing exist in any  
21 form?

22 **DR. MICHAEL PENNELL:** Well, I mean, I'm  
23 not saying I have the methodology available. But I'm  
24 just thinking with dose-response models, right, people

1       tend to prefer dose-response models that sort of  
2       reflect the mechanism of the toxin or the disease,  
3       right. And I feel like maybe it's the label of the  
4       type of effect which kind of -- calling it a pathway  
5       effect. I know you're trying to find an effect on the  
6       11 steroids as a whole. Kind of infers to me that  
7       this is some sort of method that is incorporating  
8       knowledge about that pathway.

9                        Again, I'm not saying that -- I don't  
10       know how easy would it be to do this, but that is a  
11       limitation. It's less ideal because this is not  
12       something that I feel is particularly tailored to this  
13       problem.

14                      **DR. JAMES MCMANAMAN:** Is there a  
15       modeling approach that could be used? It looks like  
16       Dr. Berrocal has a --

17                      **DR. VERONICA BERROCAL:** No. I was  
18       wondering if actually when you made that statement,  
19       you were mentioning more about having a covariance  
20       metrics that somewhat is reflective of the known or  
21       the expected relationship between these hormone  
22       responses, rather than having it estimated from data.  
23       I don't know if that's what you were trying to get at.

1                   **DR. MICHAEL PENNELL:** That's not  
2 exactly what I was trying to get at. Again, I'm not -  
3 - I do have a Bachelors in Biology, but I'm not a  
4 biologist. I'm thinking there's a pathway, right.  
5 There's relationships between these different  
6 steroids, right. And that sort of confirms some sort  
7 of directional relationships, maybe, between these  
8 steroids, right. If you have an effect on one, that  
9 might infer an effect on another. Is it necessary to  
10 consider them sort of almost equally weighted in this  
11 multi-variant analysis? Or if you hit one part of the  
12 pathway, is that good enough to find an effect because  
13 you naturally expect, sort of, downstream components  
14 to also be affected. That's kind of what I had in  
15 mind.

16                   **DR. JAMES MCMANAMAN:** Dr. Androulakis.

17                   **DR. IOANNIS ANDROULAKIS:** I'll attempt  
18 to give an answer. I'd like to emphasize what I said  
19 before. I don't know if this is the right forum to  
20 have this discussion, because the question here is  
21 whether this method can help analyze the data.

22                   As a system biologist, what I would say  
23 is that maybe what one can look at is not -- as was  
24 mentioned before by Dr. Pennell. In fact, what we

1 have here, we have a graph, you have a network. And  
2 the question you're asking is how different are two  
3 networks? The networks have a structure which is the  
4 fact that two nodes, two hormones are connected. And  
5 each of the nodes have a value which is the level of  
6 the hormone.

7 I'm assuming maybe one of the  
8 directions would be that now I'm not just comparing  
9 the fact that they have 11 different values, but they  
10 have 11 different values which are placed on a  
11 network, on a graph. And then the question that I'm  
12 asking is, how different are these graphs. And that's  
13 where functional relationships, for example, between  
14 different hormones can come into the picture.

15 Now you don't look at two different  
16 numbers, because one can ask an interesting question,  
17 you know, if the beginning and the end of my pathway  
18 appear to show activity, but then nothing in between.  
19 And if there is a signal that basically supposed to  
20 connect the beginning and the end, if everything in  
21 between is dead then the two ends light up, I mean,  
22 what does that really mean. But again, that's a  
23 different question.

1                   **DR. JAMES MCMANAMAN:** Going back to the  
2 non-biologist mathematician. Anybody who fits that  
3 description, is the math available? The modeling  
4 approach is available to handle this systems biology  
5 question, which it really is a systems biology  
6 question. If you understood that, could you adapt the  
7 Mahalanobis approach -- whatever it is? The question  
8 is, if you had that information, could it be adapted  
9 into a model?

10                   **DR. IOANNIS ANDROULAKIS:** Most likely  
11 not that particular metric. But there are ways,  
12 completely different thing that one could do to  
13 basically address that. But again, I'll emphasize I'm  
14 not sure the overall discussion we had as far as the  
15 charge question is concerned. But the short answer to  
16 your question, yes.

17                   **DR. JAMES MCMANAMAN:** So, it might be  
18 useful to include those references in a writeup about  
19 that as a possibility as an alternative. Yes, go  
20 ahead.

21                   **DR. MICHAEL PENNELL:** First of all I'm  
22 not a mathematician. And statisticians would say I'm  
23 not even a statistician, because I'm a  
24 biostatistician.

1 DR. JAMES MCMANAMAN: And that, you're  
2 sure about.

3 DR. MICHAEL PENNELL: Yes. I'm sure  
4 about that. I do want to reemphasize that I do think  
5 this is a step in the right direction. And I do think  
6 this is a good analysis method. I was one of the  
7 members that raised the point that this metric can be  
8 influenced by, sort of, outliers that are not really  
9 caused by extreme values of the individual hormones.

10 More, it's sort of the relationship  
11 between the two hormones is not going the direction  
12 you would expect, which is not ideal. I don't know  
13 how often that would cause problems, who knows, but  
14 it's possible I guess. I do like the idea of  
15 summarizing sort of the effects on these 11 different  
16 hormones in one measure.

17 Because again, if you're just looking  
18 at individual hormones on their own, it's going to be  
19 hard to really determine whether there's -- in fact,  
20 just looking at the separate Dunnett's test, right,  
21 does affecting two mean there's an effect on this  
22 entire pathway? How about three? Who knows, right.  
23 I do like this approach.

1                   **DR. JAMES MCMANAMAN:** Thank you. Other  
2 comments or questions? Marion.

3                   **DR. MARION EHRICH:** We're looking at  
4 this out of the whitepaper, Figure 3.1. You're  
5 talking about directions that thing go. Can that be  
6 helpful for some of the statistical analysis?

7                   **DR. IOANNIS ANDROULAKIS:** Yeah. That's  
8 basically what I mentioned before. That one way of  
9 looking at this is -- the way we look at it right now,  
10 it's just 11 numbers. Whereas the point is that these  
11 are 11 numbers that are placed on the network and each  
12 number belongs to each one of these boxes. And there  
13 are boxes that are directly linked, and there are  
14 boxes that are indirectly linked.

15                   When you run an experiment and you  
16 compare two chemicals, you don't only have these 11  
17 numbers, but you also have where these 11 numbers are  
18 relative to the network they belong to. Then the  
19 question becomes I don't just look at how these 11  
20 numbers change, but I really look at how the whole  
21 thing, the structure, plus the pathway, plus -- or the  
22 network rather, plus the numbers have changed.

1                   **DR. JAMES MCMANAMAN:** Okay, thank you.  
2 Other comments? Questions? Okay, hearing none, I'll  
3 go back to the Agency.

4                   **DR. SEEMA SCHAPPELLE:** No need for  
5 clarification from my perspective. I will just thank  
6 the panel for the comments that we've received. I  
7 think this really provides us what we need for  
8 optimization of these efforts as we move forward in  
9 development of our orthogonal assays. And it really  
10 does speak to the nature of the program in terms of  
11 our kind of learning by doing design. And so, this  
12 does provide the feedback that we need to continue  
13 furthering our improvements as we go.

14                   **DR. KATIE PAUL FRIEDMAN:** I thought all  
15 the comments were really clear. I wanted to add maybe  
16 just a clarifying point; another option for modeling  
17 is kinetic-based modeling. And in the whitepaper we  
18 do reference that there are existing kinetic models  
19 that would take pathway information into account.

20                   The problem in implementing those, in  
21 this scenario, is that those kinetic models were not  
22 optimized for a high-throughput screening assay like  
23 the one that we used. And so, we would need to  
24 collect more data, time-course data, spend a lot more

1 time and try to see if we could be successful in  
2 developing kinetic models.

3 The other obstacles that I think is  
4 also mentioned in the whitepaper, is that typically  
5 those kinetic models in H295R -- there's a few papers  
6 -- they tend to be optimized using one chemical or  
7 maybe a couple. And of course, we have a many-  
8 chemical problem. If we took that route, we might  
9 encounter some challenges. I wanted to clarify that's  
10 an option that was considered in the whitepaper. And  
11 we didn't have the appropriate data to pursue it.

12 **DR. JAMES MCMANAMAN:** Okay, thank you.  
13 At this point I think we can take a break. We have  
14 two more charge questions left. Are the panelists who  
15 are up -- you ready Tom? Okay, so we have the option  
16 that we can try to get through these today and end it  
17 a little early. We want to take a 15-minute break and  
18 be back here at a quarter to 3:00, and see what we can  
19 get done.

20 **[BREAK]**

21  
22 **DR. JAMES MCMANAMAN:** I think we can  
23 begin the last portion now. I think we're prepared to  
24 move onto Charge Question 5.

1                   **DR. RONNIE JOE BEVER:** Charge question  
2 five. Please refer to white paper Section 4.2. EPA  
3 has identified adverse outcome pathways for thyroid  
4 hormone disruption related to potential xenobiotic-  
5 induced alterations of thyroid homeostasis.

6                   Please comment on the completeness of  
7 the molecular initiating events, Table 4.1, key  
8 events, and adverse outcomes within the thyroid  
9 adverse outcome pathway network, Figure 4.1

10                  Also, please provide information on any  
11 missing pathways, adverse outcomes, or other adverse  
12 outcome pathway related information, e.g. molecular  
13 initiating events or key events, critical for  
14 capturing the complexity of systems biology controlled  
15 by thyroid hormones.

16                  **DR. JAMES MCMANAMAN:** Thank you. The  
17 panel members on this charge question are Dr. Zoeller,  
18 Belcher, Furlow, and Shaw. Dr. Zoeller is lead.

19                  **DR. THOMAS ZOELLER:** I wanted to begin  
20 first by kind of reading what the white paper says  
21 about framing the issue here, and that is that EPA has  
22 previously demonstrated that estrogenic activity from  
23 nuclear hormone receptors, ER, and resultant cellular  
24 signaling pathways correlates -- or correctly predicts

1 over 85 percent of chemicals known to produce positive  
2 findings in estrogen-related in vivo assays, i.e. the  
3 uterotrophic assay. But thyroid hormone receptor  
4 activity, fails to predict the vast majority of  
5 thyroid hormone-related findings in in vivo studies.

6 This appears to be due to the high  
7 ligand specificity of TH receptors and the ability of  
8 chemicals to interact or act with different  
9 sensitivities on the multiplicities on the non-TH  
10 receptor elements within the thyroid pathways. As a  
11 result, a comprehensive pathway-based approach that  
12 incorporates screening for potential interaction with  
13 multiple MIEs is needed to effectively screen for  
14 thyroid disrupting chemicals.

15 Now, there are two important issues  
16 here. The first is linking MIEs through key events to  
17 an adverse outcome. And the second is identifying  
18 adverse outcomes that are specific to that AOP pathway  
19 initiated by a particular MIE. Clarifying this issue  
20 is critical in the strategy the Agency employs to  
21 achieve the goal of developing high-throughput assays  
22 that could populate an AOP providing information for  
23 thyroid disruption.

1           An example that the Agency uses is that  
2 of thyroid hormone receptor. The observation is that  
3 TR activity fails to predict the vast majority of  
4 thyroid hormone-related findings in in vivo studies.  
5 And the interpretation is that the ligand binding  
6 domain is too restricted. But the in vivo findings  
7 are serum T4, serum TSH, thyroid weight, and  
8 histopathology.

9           Even though there is ample evidence to  
10 support the conclusion that thyroid hormone receptor  
11 ligand binding domain is more restricted than that of  
12 ER, it's also true that a chemical could activate or  
13 inhibit a TR -- especially TR-alpha 1 -- without  
14 affecting these guideline endpoints of T4 and TSH, et  
15 cetera.

16           The point that I'm trying to make here  
17 is that the AOP, being investigated by these in vitro  
18 assays, needs to reflect the pathway that affects a  
19 specific adverse effect. To elaborate on that a  
20 little bit, we know the TR-alpha 1 doesn't regulate  
21 thyroid hormone levels or TSH, in humans or in rats or  
22 mice. Including TR-alpha in this calculation of this,  
23 let's say, balanced accuracy, is going to dilute the

1 findings because it's not relevant to the endpoint  
2 under investigation. That's a key issue to me.

3 The second thing is that if you look at  
4 all the chemicals that affect thyroid hormone levels  
5 in vivo, in guideline studies, and then determine in  
6 vitro what could be explaining that, that is going to  
7 require multiple MIEs or multiple high-throughput  
8 assays. But it's still going to be difficult for  
9 reasons that I'll talk about here, largely in terms of  
10 Table 4.1.

11 So, 4.1 is largely complete, I think,  
12 in terms of MIEs that control thyroid hormone action.  
13 Now the question talks about systems biology  
14 controlled by thyroid hormones. I'm not exactly sure  
15 if those two things are the same, but for the sake of  
16 my argument I'll assume that it is. Thyroid hormone  
17 action in tissue is what you mean by controlled by  
18 thyroid systems biology -- controlled by thyroid  
19 hormone.

20 One recommendation that I have for  
21 Table 4 -- and it's not so much for the table, but in  
22 terms of Agency thinking and planning as they move  
23 forward on this -- is that you add an additional  
24 column that includes the adverse outcome that would be

1 predicted to result from the interference with a  
2 particular MIE. The first thing that's going to do is  
3 require you to separate TR-alpha from TR-beta. And  
4 TR-beta 1 from TR-beta 2. We have enough information,  
5 both in humans and in animals, to be able to link what  
6 kind of adverse outcomes are going to be related to  
7 those particular MIEs. The same is true for really  
8 all the rest of them.

9 Many of the issues -- you're probably  
10 not going to have any evidence for linking the MIE to  
11 a specific adverse outcome. But that's actually  
12 important, because it tells you what we don't know and  
13 it's for you to be able to navigate, I think,  
14 especially at the beginning of this project. To be  
15 able to navigate, you're going to have to know what we  
16 know and what we don't know, and probably focus  
17 initially on what we know.

18 While this AOP concept is covered, to  
19 some extent, in Figure 4.1, to highlight it here would  
20 be this opportunity to, first of all, reference the  
21 scientific evidence for a specific MIE pathway --  
22 related pathway. It's a complex system and the Agency  
23 has made great strides in organizing their work  
24 effectively. But articulating what we know and what

1 we don't know in this AOP kind of approach, is going  
2 to be important.

3 Now, this is kind of a trivial point,  
4 but since the recognition of cellular transport by  
5 Gruters (phonetic) and others -- actually several  
6 years ago -- serum binding proteins have been called,  
7 officially, distributor proteins. If you call them  
8 transport proteins, it gets really confusing whether  
9 you're talking about serum transport or cellular  
10 transport. It's also now an antiquated kind of term.  
11 I think it would be better to call them distributor  
12 proteins. Serum binding proteins, I think,  
13 discriminates between transport proteins like MCT8.  
14 It would be useful to change that kind of language  
15 just to keep that clear.

16 For the hepatic nuclear receptors, two  
17 points here. The liver controls thyroid homeostasis  
18 to the same degree that thyroid synthesis and release  
19 does. Those are two ends of the regulation of  
20 circulating levels of thyroid hormone. If it's a  
21 normal physiological event controlling thyroid  
22 homeostasis, then it doesn't make any sense to  
23 consider it an indirect effect that's not relevant to  
24 the issues at hand.

1                   Now, I think there's a lot of reasons  
2 to separate the nuclear hormones. In Table 4.1, it  
3 just has nuclear hormones. I think those should be  
4 separated also, because those are going to be separate  
5 MIEs that could be important to explain effects on  
6 thyroid homeostasis. The same is true for sulfation  
7 and glucuronidation.

8                   The regulation of TRH synthesis, or  
9 neuronal activity, might also be important. TRH  
10 receptor assays are going to be important, but we know  
11 that there are mechanisms, both in humans and animals,  
12 that affect the TRH neuron that can be reflected in  
13 TRH gene expression. But it can also be reflected in  
14 other ways that could represent an MIE that's  
15 important.

16                   As I said earlier, thyroid hormone  
17 receptors need to be separately identified. Also, the  
18 term TH transcription probably means TH regulated  
19 transcription. That could be updated. That's another  
20 kind of trivial point. This whole field of TH  
21 regulated transcription is incredibly large and  
22 complex. Biologically, but also in terms of system  
23 biology. This is going to be important to pay  
24 attention to.

1           The Agency makes the point that there  
2           are many MIEs that lead to a common downstream effect  
3           through key events, which are linked to a number of  
4           adverse outcomes that are species and life stage  
5           specific. This would be clearer if you did add  
6           another column that had those kinds of adverse  
7           outcomes also incorporated.

8           In the discussion of Tier 1 and Tier 2  
9           of the EDSP, it might be useful to state that one is  
10          hazard identification and one is hazard  
11          characterization. Just to make it clear what the goal  
12          of those two things are as you think about how to use  
13          these high-throughput assays to replace something,  
14          even in Tier 1. Or even to prioritize what might go  
15          through Tier 1.

16          For Table 4.2, for Tier 1, as I said  
17          before, thyroid related endpoints that are captured in  
18          the pubertal assay are T4 and TSH, thyroid weight and  
19          thyroid histopathology. These are known to be  
20          separable in some cases. That is, some chemicals can  
21          cause a reduction in serum T4, both total and free,  
22          but they don't cause an increase in serum TSH. It's  
23          not clear at all how that happens, what the mechanism  
24          of that is, but it's not uncommon.

1           This means, first, that thyroid weight  
2           and histopathology are endpoints related to serum TSH,  
3           not T4 directly. It's important to keep that  
4           distinction clear, I think. Second, it means that in  
5           the absence of a clearer AOP that can discriminate  
6           between chemicals that have those two different  
7           effects, getting a balanced accuracy that is  
8           acceptable is going to be difficult, because those two  
9           things -- those two kinds of chemicals and their  
10          effects -- are going to dilute each other.

11           The Agency has identified that a 10  
12          percent reduction in serum T4 isn't adverse outcome.  
13          This level of T4 reduction -- in fact, even an 80  
14          percent reduction in serum T4 doesn't affect growth or  
15          body weight or brain weight. And therefore, it would  
16          be prudent if the Agency points out or stipulates that  
17          growth and body weight can be affected by low T4, but  
18          only under really severe circumstances. And that many  
19          adverse outcomes will occur that are thyroid hormone  
20          specific, while growth and body weight, as well as  
21          brain weight, are normal.

22           For Tier 2, thyroid endpoints are  
23          pretty much the same. It's a different exposure.  
24          Designed thyroid specific endpoints are the same, and

1 it's important, I think, to think about the neuro-  
2 histopathology, which could be predictive or  
3 reflective of thyroid specific disruption. Because  
4 not all neuro-histopathological endpoints are related  
5 to thyroid hormone.

6           Actually, it gets pretty complicated to  
7 think about thyroid hormone action in the developing  
8 brain because some things are not affected by thyroid  
9 hormones. So, it has to be very specific to thyroid  
10 disruption. In the investigation or in the  
11 identifying of those endpoints that are thyroid  
12 hormone specific, I think will give the Agency a lot  
13 of clarity on how to develop a model that's predictive  
14 of those specific kinds of endpoints.

15           Figure 4.1 complements Table 4.1 well,  
16 providing this kind of visual diagram of the various  
17 thyroid-related AOPs; but it's difficult to populate  
18 this figure with the resolution that I think the  
19 Agency really needs to employ this concept -- the  
20 conceptualization of this as a tool.

21           A few comments that just reinforce  
22 these comments. First of all, negative feedback in  
23 the pituitary is mediated by TR-beta 2. That needs to  
24 be specified there. We know this because -- well, I

1 have a bunch of references here that clarify that.  
2 The specific serum and clinical profile also of  
3 mutations in TR, are important. For example, even  
4 with TR-beta, a chemical that would activate TR-beta,  
5 you would expect to cause a reduction in serum TSH and  
6 T4.

7 But if you inhibit TR-beta, you would  
8 expect T4 levels to go up, but TSH, potentially, to  
9 remain the same. The complexity of the profile of  
10 blood levels of hormones for these kinds of  
11 interactions, needs to be, I think, carefully  
12 documented and incorporated into the analysis of the  
13 efficacy of these in vitro assays. Plus, I actually  
14 looked through the ToxCast database for these  
15 chemicals that hit, and there are a fair number of  
16 chemicals that hit both TR-alpha and TR-beta,  
17 sometimes with a low AC50.

18 Also, in this figure, the Delta T3 in  
19 cells and tissues needs to point to TR binding  
20 transactivation, not just gene expression. Because it  
21 works through changing thyroid hormone receptor  
22 binding. I'll leave it there.

23 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
24 Belcher?

1                   **DR. SCOTT BELCHER:** I have nothing  
2 else.

3                   **DR. JAMES MCMANAMAN:** Dr. Furlow?

4                   **DR. J. DAVID FURLOW:** I just wanted to  
5 amplify on a couple things. I agree with most of what  
6 was said. I think that this might seem it's not  
7 directly to the charge, but it does, so you have to  
8 give me a little...

9                   The charge is asking us to assess how  
10 complete the AOP network is. Part of this is sort of  
11 asking us, is it sufficient to find the targets that  
12 the Agency may want to interrogate. At first glance,  
13 it looks complicated, and maybe overly complicated to  
14 someone looking at trying to link the estrogen  
15 receptor to an uterotrophic assay. But my argument  
16 about that is, is that I think we may be actually  
17 oversimplifying the estrogen and androgen pathways too  
18 much.

19                   I think it's important for us to think  
20 about all the other ways that these steroid hormones  
21 could, in fact, be affected by chemicals. Including,  
22 of course, we are looking at steroidogenesis as well.  
23 I understand that. But you do have serum binding  
24 proteins, you have an alpha-fetoprotein, which is an

1 interesting challenge that may be bypassed or affected  
2 in some way. Feedback loops are even more complicated  
3 in the case of estrogen. Metabolism and clearance --  
4 so this idea -- and I'll come to this in the second  
5 one about metabolism and clearance and how that may be  
6 affected too. And certainly windows of exposure or  
7 disruption during development, which is something I  
8 want to come back to, to the AOPs.

9           These are also, at least as important,  
10 I think, in the estrogen and androgen pathways. On  
11 one hand, one thing I was trying to -- it was an  
12 interesting pairing of looking more deeply at the  
13 androgen pathways to what we're being asked to do, to  
14 essentially reevaluate where the thyroid interrogation  
15 is at this point. It's interesting that I think the  
16 Agency -- I would urge the Agency to look at the  
17 lessons built on the estrogen and androgen programs.  
18 How that could be helpful, on one hand; but on the  
19 other hand, to use this AOP network and pathway that  
20 was, I think, elaborated well for the thyroid hormone  
21 system. I did want to say that upfront and I didn't,  
22 but I think it is elaborated well. But also  
23 essentially to investigate in the thyroid EDSP, or  
24 whatever.

1           The endocrine disruption of thyroid and  
2 hormone signaling in this way is a good context that  
3 could inform other ways that the Agency is operating.  
4 I think it's, you could say, do we need to look at all  
5 of these targets, do we need to look at all these  
6 AOPs, do we need to do all this stuff? I would argue  
7 on the face of it, yes, to a degree. And we'll talk  
8 about some of the MIEs in my charge. But I would also  
9 argue that it is instructive. I think it's a  
10 framework. I think it's a mental framework. It's a  
11 way to organize the way that these complex systems can  
12 be interrogated.

13           Anyway, so I think it came around to  
14 why one would want to look at that and how complete it  
15 is. I think it is fairly complete. There are other  
16 places that we could talk about, but I think it's very  
17 complete. I think it's important to be that complete.

18           The only other thing in terms of an AOP  
19 that might not be there is a challenge, again, for the  
20 steroid system as well, and that's to interrogate the  
21 effects and behavior. I've been on other SAPs where  
22 this has been brought up as well. I don't have a good  
23 assay for that, certainly not a high-throughput one.  
24 I think when we think about it, you could have

1 behavioral effects that are below even changes by  
2 histopathology in the brain. You could see subtle  
3 changes in branching of cerebellar neurons, these  
4 sorts of things.

5 I think it's important to think about  
6 that as an AOP, where subtle changes in thyroid  
7 hormone in those cells, at that time during  
8 development, is in fact the point. Inside those  
9 cells, what's T3 at that particular time? And  
10 whichever receptor that's causing those changes, and  
11 setting up that neural network.

12 Then that goes again to the critical  
13 period question, which I know the Agency does put  
14 upfront, but I think is missed sometimes when these  
15 AOP networks are set up. Those are just my two sort  
16 of general bigger picture comments essentially,  
17 towards the AOP question that was addressed to us.  
18 I'll just end there.

19 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
20 Shaw?

21 **DR. JOSEPH SHAW:** I have nothing more  
22 to add other than to compliment the Agency on taking  
23 on this ambitious approach and just echoing David's

1 words of really trying to flesh it out in as much  
2 detail as possible.

3 Coming back to one minor point that Tom  
4 made, just keeping an eye on this broader literature  
5 that's already out there and coming out on thyroid  
6 hormone regulated transcription. Especially looking  
7 at newer tools that are coming out to really allay  
8 that into big networks of biological function.

9 **DR. JAMES MCMANAMAN:** Thank you. This  
10 charge question is now opened up for comments from  
11 other panel members. Yes, doctor?

12 **DR. KRISTI PULLEN FEDINICK:** I just had  
13 a question actually in terms of the critical periods.  
14 So, for thyroid -- and I don't know anything about  
15 this at all -- do the mechanisms or players change at  
16 all during development? Do you know that there is  
17 differential or different types of gene expression  
18 that's happening during development that wouldn't be  
19 captured in this current AOP network?

20 If this is just looking at adult  
21 biology, for the most part, are there things that we  
22 know are being up-regulated during development that  
23 would be impacted by, or impactful to, the thyroid  
24 signaling that aren't covered in this?

1                   **DR. THOMAS ZOELLER:** Yes. It is true  
2 that it depends on what you mean by players, but  
3 certainly as thyroid hormone level declines during  
4 development, it has different effects on different  
5 brain areas at different times. In fact, the Agency -  
6 - Mary Gilbert, in ORD, has probably published more  
7 kind of high-resolution information about that kind of  
8 issue than anyone.

9                   In fact, I think she was probably the  
10 first person to really begin to look at the question  
11 about how low does thyroid hormone have to go before  
12 there is some change in the structure or function of  
13 the nervous system. I think that the Agency itself  
14 has really done a great job in telling us both how  
15 sensitive the developing brain is to low thyroid  
16 hormone, but also exactly your question. If you look  
17 in the hippocampus versus the cortex, you see  
18 different genes that are affected at the same temporal  
19 kind of period by low thyroid hormone.

20                   It is complicated. I think it's going  
21 to be a real challenge and it's not the Agency's fault  
22 that somehow biology of the developing brain -- why  
23 would we think that that would be simple? It gets  
24 really complicated.

1                   **DR. KRISTI PULLEN FEDINICK:** Just to  
2 follow up on that. Do you think that there would be  
3 different molecular initiating events, or is it really  
4 more downstream gene expression? I guess the question  
5 is, are there any pieces that are missing that are key  
6 events or MIEs that are unique to the developing body  
7 that you're not necessarily going to see in an adult  
8 animal or a fully developed animal? Maybe that's an  
9 unknown, which is fine. But I'm just curious,  
10 especially since development is very different than  
11 when you're not developing.

12                   **DR. THOMAS ZOELLER:** I don't think we  
13 know that for sure. We don't have high resolution  
14 data. But we do know enough to propose that thyroid  
15 hormone -- the same thyroid hormone receptor in two  
16 different parts of the brain can have different  
17 effects. That's going to be mediated by differences  
18 in co-factor, differences in heterodimer formation, et  
19 cetera. There is some mechanism that controls that.  
20 I don't think we're ready for a key event that has  
21 that at the moment. It's speculative.

22                   **DR. J. DAVID FURLOW:** I would just add  
23 that sort of, the development of competence for  
24 signaling is something that even was proposed way back

1 when, when they were putting neural tissues together  
2 and saying, okay, now we can respond.

3 The nature of that competence sometimes  
4 can be explained by receptor numbers. The tadpole  
5 tail has to have a certain number of TR-betas and then  
6 it does and it responds, and then the tail goes away,  
7 so, yes. That doesn't happen anymore. There are  
8 certainly some levels of thyroid hormone receptors in  
9 the adult frog, and yet we don't see much response.

10 It's going to be a combination, at  
11 times the receptor presence; it's going to be other  
12 times, as Tom brings up, even chromatin access to  
13 genes. So, the target genes could be the pioneering  
14 factors that open up genes and this sort of thing.  
15 It's, again, not the Agency's fault, it's our fault.

16 I think sometimes, yes, and sometimes,  
17 no, is the answer to your question unfortunately.

18 **DR. JAMES MCMANAMAN:** That was a  
19 discussion between Doctors Pullen Fedinick, Dr.  
20 Zoeller and Dr. Furlow. Other comments or questions?

21 Okay. Then back to the Agency.

22 **DR. SEEMA SCHAPPELLE:** I have no  
23 further questions for clarification, but I'll invite  
24 Dr. Lynn to ask any.

1                   **DR. SCOTT LYNN:** First, I want to thank  
2 the panel for their comments. They are very much  
3 appreciated.

4                   There are two things that I wanted to  
5 do. One, I wanted to ask, it sounded like the breadth  
6 of MIEs that were put forward were very much supported  
7 by the panel, but that there was a recommendation to  
8 better refine certain particular MIEs. In particular,  
9 the thyroid hormone receptor and the thyroid hormone  
10 transcription. I wanted to ask that as a clarifying  
11 question, is that what I heard?

12                   **DR. THOMAS ZOELLER:** I think the point  
13 of that was to link the specific MIE. You can't just  
14 say, nuclear receptor or thyroid hormone receptor,  
15 because they're not uniformly linked to a particular  
16 adverse effect. The reason I'm saying that is that  
17 when you go to determine the balanced accuracy of some  
18 assay, you need to make sure that you're including,  
19 kind of, both sides of the equation. That is, of all  
20 the chemicals that affect serum T4 in guideline  
21 studies, how many of them work through this particular  
22 MIE? It may be that we would have to go into a higher  
23 resolution discussion about that calculation. The  
24 same will be true for the nuclear hormone receptors.

1 Even the NIS and TPO assay. That's kind of what I  
2 meant by that.

3 If you look at Table 4.1 and just  
4 generate one more column that has an adverse effect,  
5 or an effect, that is linked to the specific MIE --  
6 you're going to have to separate TR-alpha 1, TR-beta  
7 1, and TR-beta 2, because they do different things  
8 with respect to serum T4. The same is true for  
9 nuclear receptors, though, in the liver also.

10 **DR. SCOTT LYNN:** Thank you.

11 **DR. JAMES MCMANAMAN:** With that, I  
12 think we'll move on to Charge Question 6. We'll have  
13 it read into the record.

14 **DR. RONNIE JOE BEVER:** Charge Question  
15 6. Please refer to White Paper Section 4.3. EPA has  
16 summarized currently available assays and test  
17 guidelines informative of thyroid adverse outcome  
18 pathways, and is developing high-throughput assays for  
19 a number of molecular initiating events.

20 Please comment on the ranked importance  
21 of molecular initiating events, Table 4.3, and whether  
22 assays for environmentally important molecular  
23 initiating events are missing; and include information

1 on both the biological and environmental relevance of  
2 these molecular initiating events.

3 In addition, please comment on other  
4 assays that would supplement, or be orthogonal to, the  
5 assays currently identified in Table 4.3, or for other  
6 key events or adverse outcomes in the thyroid adverse  
7 outcome pathway framework, Figure 4.2.

8 **DR. JAMES MCMANAMAN:** The panel members  
9 on this charge question were doctors Furlow, Belcher,  
10 Perkins, and Zoeller. Dr. Furlow is lead.

11 **DR. J. DAVID FURLOW:** As you might  
12 imagine, our discussions on this charge logically  
13 followed from discussions based on charge question  
14 five. The subgroup reviewed Section 4.3, discussed  
15 the proposed MIE targets for potential expanded  
16 screening, if necessary, and their ranking in terms of  
17 priority for the Agency, as well as if there were any  
18 potential missing MIEs that were worth discussing.

19 First, we found the coverage of the  
20 identified MIEs for the thyroid hormone endocrine  
21 system essentially comprehensive -- except for some of  
22 the granularity in terms of receptor subtypes that  
23 would be useful -- as outlined in Table 4.1. As I  
24 mentioned before, we appreciate the construct of AOP.

1 It's the best way to organize our thoughts to date. I  
2 think that's a very useful construct. The definitions  
3 used to describe the status of the assays, where they  
4 were essentially in a pipeline for each MIE, and the  
5 suitability for high-throughput, was fairly logical.

6 We would urge the Agency, however, to  
7 provide a clear definition of what high, medium, and  
8 low ranking means in terms of priority for action  
9 items and proposed timelines, for example. Does a  
10 medium ranking mean hold? We have assays we need for  
11 now? Or does it mean we have some assays and only  
12 need a few more orthogonal ones? Does low mean the  
13 assay would not develop assays until there is a hit  
14 from the literature, or an effect of a chemical on --  
15 perhaps another example would be is that you wait  
16 until there is an effect on TH synthesis that's not  
17 explained by the existing TPO/NIS inhibition assays.

18 The other question that was raised,  
19 should priority be placed on MIEs that are most likely  
20 to cause a reduction in serum T4? Because that's  
21 where a lot of the chemicals that have been tested so  
22 far in Tier 1 and other essentially exploratory  
23 screening, has indicated. Is that the starting point?  
24 Do you start with the high-throughput assays that are

1 linked to reductions in serum T4? It could be best  
2 explained that way, was one other suggestion. What  
3 that ranking means -- I brought it up yesterday -- it  
4 still wasn't clear, I think, to us.

5 What I will do, though, is move on to  
6 commenting on each group of MIEs by the suggested  
7 priority by the Agency, including the suggestions then  
8 for the supplemental orthogonal assays where  
9 available.

10 In terms of the high priority MIEs, it  
11 makes sense, again, because the reduction in hormone  
12 is one of the things that's commonly observed that the  
13 sodium iodide symporter was relevant and  
14 thyroperoxidase were highly relevant.

15 In terms of alternative assays other  
16 than just measuring how radioactive iodide moves  
17 across a biological membrane, it's sort of hard to  
18 imagine other kinds of assays to come up with. I do  
19 agree in the general principle -- which came up in the  
20 first androgen receptor -- having different kinds of  
21 assays with different kinds of endpoints that are  
22 getting at the same biological question is important.

23 It was hard for me to think of one,  
24 other than to suggest potentially, that expression of

1 NIS in maybe xenopus oocytes as a model, which was  
2 sort of the old school idea. It is lower throughput,  
3 but it could provide more flexibility in examining  
4 different species' differences in NIS. There may also  
5 be polymorphisms in human NIS that could be  
6 investigated that way, and I think has been. This may  
7 be a faster way to explore NIS than creating new  
8 stable lines every time.

9 For thyroperoxidase, there are two  
10 assays currently available. The Tox21 data is  
11 available and I wanted to have a look, but didn't  
12 quite get a chance. I would say that I was happy the  
13 Agency did take care to examine -- when you have an  
14 assay that's based on loss of signal, you have to do  
15 quite a lot of controls. It seems like that the  
16 Agency is aware of that, but I would avoid those kinds  
17 of assays wherever possible. I couldn't think of a  
18 different way to do thyroperoxidase, that's not my  
19 area of expertise, but that was just my comment on  
20 thyroperoxidase. We felt those two merited being in  
21 the high category.

22 There was a special note and some  
23 discussion then about the hepatic nuclear receptor  
24 focus, and also the sulfation glucuronidation assays.

1 Where they fall in the high-minus and medium-plus  
2 range, it depends on how we define high and medium.  
3 In principle, the hepatic nuclear receptors would be  
4 important, A, because there is good evidence that when  
5 serum T4 is reduced, that liver metabolism is playing  
6 an important role, as was previously discussed.

7 Having a spectrum of assays looking at  
8 the hepatic nuclear receptors that are xenobiotic  
9 sensors could be important; but it sort of raises the  
10 interest, in some sense, if it is also of interest to  
11 the Agency to have a broad-spectrum way to interrogate  
12 those receptors. Because they could in fact be useful  
13 for looking at other lipophilic hormones as well.

14 I don't expect activating the hepatic  
15 nuclear receptors by one chemical or another is going  
16 produce -- doesn't produce just something that  
17 specifically metabolizes thyroid hormone per se. It  
18 kind of bumped up. We agreed that it is of interest  
19 as long as it has a broader attention, I suppose.

20 Species specificity is important there.  
21 We know that between rats and humans, that that can be  
22 very different, and so attention would have to be paid  
23 to that for sure.

1 I kind of lumped the hepatic nuclear  
2 receptor discussion with the sulfation glucuronidation  
3 assays under that same kind of category. It would be  
4 important to know, though, that when you are looking  
5 at -- so, these Phase 2 enzymes and the upregulation,  
6 potentially, of their activity, that you look at  
7 expression. That these are transcriptionally  
8 controlled by a lot of different hormones, and  
9 sometimes through hepatic nuclear receptors; and so  
10 not just the isolated enzymatic assays, but also  
11 expression.

12 It seems like, despite some of the  
13 challenges with the steroidogenesis assay that we  
14 discussed, that that's sort of an idea. That you  
15 would have a cell line that could look at both  
16 expression and activity of these enzymes all in one  
17 shot.

18 Some of the concerns that were raised  
19 about whether or not this is specific to thyroid  
20 hormone were addressed previously. At the end of the  
21 day, if thyroid hormones levels are reduced, this is  
22 an issue. It may be most relevant when feedback loops  
23 are not fully established during development, such as  
24 to the fetus. So, if you have thyroid hormone coming

1 internally, the animal in utero or the tadpole is  
2 starting to make its own thyroid hormone, but the  
3 negative feedback loop can't compensate quite yet,  
4 this may be where it's really relevant; and that's  
5 when a lot of these neural substrates are being  
6 affected.

7           The other one that was listed in the  
8 high category was the deiodinases. Certainly, the  
9 biology is clear, the genetic models support the basis  
10 for looking at them as important players for  
11 intracellular thyroid hormone, which at the end of the  
12 day is what is available to the receptors, and vary  
13 then closer to the biology, the downstream events.

14           The assays are currently in  
15 development, and so it was hard to then evaluate their  
16 suitability, their comprehensiveness. But certainly,  
17 the importance of the deiodinases to thyroid hormone  
18 physiology is essential. It is clear, and so it is  
19 potential that chemicals could affect them leaves it  
20 in the high category, and that makes some sense to us.

21           Moving into the medium category.  
22 Again, having issues with how things are ranked as  
23 high and medium. Kind of pulling together binding  
24 proteins, thyroid binding globulin, and transthyretin,

1 these are important modulators of free hormone, as  
2 well as distributing hormone to other systems. There  
3 are some chemicals that can dislodge, essentially, T4  
4 from TBG, for example, so that could be important.

5 TTR is most important in amphibians for  
6 sure, versus mammals, so we should consider species  
7 differences there. The available assays -- it was  
8 raised, and we noticed this as well -- the high hit  
9 rate raised some concern. Additional validation of  
10 those assays, if they were to go into full  
11 consideration, should be paid attention to.

12 The transporters, in terms of the -- so  
13 I should have said distributor proteins. The membrane  
14 transporters, MCT8 for example, 10, the OATPs, LAT1  
15 wasn't mentioned. That is another thyroid hormone  
16 transporter that is also an amino acid transporter.  
17 There is a clear genetic basis for looking at them and  
18 it's an emerging area of thyroid hormone physiology.

19 Certainly MCT8 has a very clear genetic  
20 link in humans. It's sort of in the range, I think,  
21 of the deiodinases, where the physiology is clear, the  
22 importance to -- you can have very differential  
23 expression of these transporters across the blood-  
24 brain barrier, for example. That sort of thing. It's

1 all important, but there really aren't assays yet.  
2 There's not really great candidates for how that might  
3 happen, although one could imagine they seem to be in  
4 development.

5           Whether or not looking at the TRH  
6 receptor, TSH receptor, again, seemed reasonable, was  
7 placed in the medium category. Species considerations  
8 are important here, as was raised for some of the  
9 works on amphibians. So, corticotrophin releasing  
10 hormone does drive metamorphosis in amphibians. This  
11 has been examined, and so CRH receptor could be  
12 another point of consideration. If the Agency is  
13 looking at the corticoid signaling pathway at some  
14 point, that that may be incorporated there.

15           I have most to say about thyroid  
16 hormone regulated transcription. The considerations  
17 that thyroid hormone regulated transcription falls  
18 into the medium category makes some sense as a  
19 priority on one hand, because we do have reporter  
20 assays that have been used in high-throughput. There  
21 are some candidates out there. The conclusion is that  
22 the receptor is rather finicky about what it binds to,  
23 and that's fine. That makes sense to us as well.

1           We did already discuss, though, about  
2           the issue of really examining TR-alpha and TR-beta.  
3           The existing assays for TR-alpha are mostly  
4           overexpression and GAL4 based. One thing to keep in  
5           mind, is that the idea is that there is not -- there  
6           are some synthetic compounds. There is some evidence  
7           here and there that there may be some differences in  
8           the way chemicals interact with the TR-alpha and TR-  
9           beta binding pocket, but not a lot. But it is  
10          certainly true that within chromatin, within specific  
11          target cells, the proper assembly of complexes may  
12          differ and modulate ligand potency via different  
13          isoforms.

14                 Looking at TR-alpha versus TR-beta and  
15                 the pathways that they regulate, the transcriptional  
16                 networks that they regulate would not necessarily be  
17                 revealed by overexpress receptors, and certainly GAL4  
18                 fusions, which is usually what is present. One thing  
19                 we considered, in thinking about the transcriptional  
20                 assays and if they were sufficient or if additional  
21                 work needed to be done, is that you can move either in  
22                 one of two directions.

23                         One, you can look at specific  
24                         downstream target genes, if in fact, they are

1 identified as a key event. So, if there is some  
2 evidence that a common downstream gene that is tightly  
3 regulated by thyroid hormone is a relevant target gene  
4 that then was linked to the AOP. KLF9 is suggested as  
5 one potential to look at. I think now that the  
6 technology is moving, you don't want to be tied  
7 necessarily to KLF9 if it's upregulated, say, in the  
8 liver, but doesn't do very much. Although evidence  
9 suggests it does.

10 If you can now develop newer, high-  
11 throughput transcriptomics, RNA-seq, that sort of  
12 thing, that may be something to consider and a way to  
13 move.

14 One thing I wrote here is that if I  
15 knew then what I know now in developing reporter gene  
16 assays; or could do now as opposed to could do then --  
17 both financially as well as technology -- this would  
18 be something we would recommend. Reporter genes  
19 essentially were at the birth of the nuclear receptor  
20 field. They have been very useful for a number of  
21 years. A lot of the Tox21 assays are built on these  
22 reporter genes, but they are pretty artificial  
23 themselves.

1           I just gave a little brief about GAL4  
2 fusions. Well, minimal promoters and luciferase  
3 assays or beta lactamase assays have other issues as  
4 well. I think interrogating -- we think. There were  
5 multiple suggestions from the group to look at  
6 incorporation of targeted high-throughput RNA  
7 sequencing in amenable cells -- and that's going to be  
8 another trick -- or trackable organisms, for the  
9 identification of activated pathways relative to  
10 thyroid function and disruption would be recommended  
11 as orthogonal or even replacement assays for the old  
12 reporter gene assays.

13           Where to do this? You could do this in  
14 GH3 cells. They are responsive. That's where the  
15 luciferase reporters were built. But such techniques  
16 don't tie you to making a stable reporter line. Now  
17 you can screen primary cell lines, iPSC derived  
18 specific cell types, but only if they retain  
19 appropriate thyroid hormone responsiveness, which can  
20 be a trick.

21           Liver, but certainly neural models  
22 might be the most important places to start, but  
23 thyroid hormone is important for many tissues and  
24 organs.

1           Along these lines, animal models might  
2           be useful if adopted for this kind of approach.  
3           Xenopus laevis can be miniaturized to at least medium-  
4           throughput, perhaps, since they are competent to  
5           respond very early to exogenous hormone. The genome  
6           is now complete, so high-throughput transcriptomic  
7           approaches are feasible here. Zebra fish also has  
8           potential for medium-throughput animal model. I would  
9           argue that the thyroid endocrine physiology is less  
10          fully understood in zebra fish, but that provides a  
11          potential as well.

12                 There is also an opportunity, moving  
13          along these lines, to look at cells and look at animal  
14          models to use genome editing in these simpler, less  
15          expensive models, to develop hypothesis driven testing  
16          of chemical affects. This could help ID key events  
17          and fill in gaps between MIEs, in particular, AOPs,  
18          which is a goal of what the program needs to do here.

19                 Any use of cells or animals with  
20          phenotypic or transcriptional readouts, will need to  
21          have fully characterized transporters, deiodinase  
22          activities, both temporally, spatially, that will all  
23          have to be characterized, and I will make a point  
24          about that later on.

1                   Our next move to the lower priority  
2 MIEs is a summary. Receptor binding itself in  
3 isolation was agreed to be less informative. Affinity  
4 sometimes is not representative in purified proteins  
5 overexpressed in bacteria or something like this. If  
6 the confounds can be removed, and it can be made more  
7 convenient, we think that the transcription readouts  
8 are superior.

9                   It was suggested that other steps of TH  
10 synthesis, such as pendrin, dual oxidase, iodotyrosine  
11 deiodinase, ranked lower for the time being. We  
12 agreed that if the assays are not there, if there is  
13 not evidence for a chemical affecting them at the  
14 moment, that looking at NIS and TPO to see if that  
15 covers a broad spectrum of chemicals of concern  
16 affecting thyroid hormone levels in vivo, is probably  
17 a way to go. But paying attention to the literature  
18 is probably a good idea.

19                   In terms of the question of missing  
20 assays. The committee discussed the following. For  
21 any assay that has been brought up, biotransformation,  
22 I think, is important. So, linking what you're doing  
23 to chemicals to see if they are, first, hydroxylated.  
24 There are certain key hydroxylation steps in flame

1       retardants that allow the flame retardant to even bind  
2       to the receptor. So, that's one step that also is  
3       linked to subsequent sulfation and glucuronidation.  
4       Not binding to the receptor, but actually setting up  
5       those reactions. Somehow linking that to  
6       biotransformation, I think, is important.

7                       The other one that is emerging, I  
8       think, is retinoid X receptor ligands, both  
9       pharmaceutical and environmental. It's been known for  
10      a while that synthetic pharmaceutical RXR ligands  
11      suppress TSH and make patients hypothyroid. So, they  
12      were originally promising for certain cancers, but  
13      then were stopped because they were causing severe  
14      hypothyroidism. So, RXR itself -- whether or not  
15      that's in combination with TR unknown -- but certainly  
16      RXR could have effect on thyroid hormone. It also has  
17      effects on metamorphosis; ligands for RXR have effects  
18      on metamorphosis as well.

19                      One other target or one other concern  
20      that was brought up in thinking about different kinds  
21      of assays or things we need to be concerned -- we  
22      thought the Agency should be concerned about, is that  
23      -- I just learned this this week -- that lithium --  
24      still used to treat bipolar disorder -- leads to

1       hypothyroidism in a significant number of patients.  
2       But the mode of action is not 100 percent clear, but  
3       there is evidence that it is actually linked to  
4       thyroid hormone release. So, lysosomal coupling and  
5       release and so what is lithium doing in there? There  
6       are some suggestions, but that's another potential MIE  
7       to keep in mind.

8                       Overall, linking the MIEs to key  
9       events, to AOPs, and looking at the quantitative  
10      differences through these pathways using cell lines or  
11      animals to assist this goal, we felt was important.  
12      But linked to an earlier comment I had, I think if  
13      there is a way to hit multiple MIEs at once, if there  
14      is a cell line that expressed deiodinases, also has a  
15      certain array of transporters and has a nice  
16      transcription readout, sort of the unicorn of thyroid  
17      hormone signaling, that would be awfully nice, but  
18      they may exist. And they may not be a cell line, it  
19      may not be an iPSC, but it may be a small organism --  
20      model organisms.

21                      It was also suggested that other  
22      species are missed by focusing on essentially the lab  
23      rat of the amphibian world, which is xenopus, or zebra  
24      fish, the lab rat of the fish. That we should

1 consider, potentially, other organisms. There is some  
2 thought that thyroid hormones or iodotyrosine may play  
3 a role in sea urchin metamorphosis. These are also  
4 organisms of concern in the marine environments, that  
5 sort of thing. Other species should be potentially  
6 considered for screens, but at least consideration by  
7 the Agency at some point.

8 Those are most of my comments. I think  
9 that's pretty much where it is. There were other  
10 suggestions about how critical it is, as the Agency is  
11 aware, to develop reference chemicals along with  
12 selected MIEs. As you're developing the assays, to  
13 have some good reference chemicals. It's noted that a  
14 specific TR antagonist is now more widely available  
15 than it had been. That may be useful.

16 Species differences are implied in the  
17 charge question and the environmental relevance. And  
18 we discussed a little bit about that, but maybe this  
19 isn't quite where we can approach this. But genetic  
20 variation and sensitivity to hormones and chemicals  
21 and how it may affect the thyroid hormone endocrine  
22 system is not captured this way, and where would this  
23 reside? Where can this be interrogated and when? Is  
24 it all pharmacokinetics? Is it target cell

1 sensitivity? Humans typically have a tighter range of  
2 T4 than circulating T4 levels. So, the points can be  
3 different and not understood. But that may be beyond  
4 the scope of what we're asked to do here.

5 I'll stop there and turn it over to my  
6 colleagues.

7 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
8 Belcher?

9 **DR. SCOTT BELCHER:** That largely covers  
10 everything that I had thought about, although as this  
11 went on, I just wanted to make a comment on the  
12 adverse outcomes that we're potentially missing. I  
13 think the Agency has a real opportunity here to  
14 address a long-standing hole in assays that relate to  
15 neurodevelopmental effects. This may be a real  
16 opportunity here to focus on filling that void.

17 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
18 Perkins?

19 **DR. EDWARD PERKINS:** No, they've all  
20 covered everything that I was concerned about.

21 **DR. JAMES MCMANAMAN:** Dr. Zoeller?

22 **DR. THOMAS ZOELLER:** Yes. Two things.  
23 One is in terms of adverse effects, I think  
24 myelination and oligodendrocyte development is

1 actually both important in vivo, but also in vitro.  
2 There are some in vitro assays that I think could be  
3 really useful there.

4 The second thing is this idea of  
5 prioritization, because as I think about what high-  
6 throughput assays related to thyroid are going to do  
7 for the Agency, and if I compare that to estrogen,  
8 androgen, steroidogenesis, it's what's available in  
9 EDSP Tier 1 that could be captured here. Really,  
10 essentially, what you're looking to replace is T4  
11 assays in the pubertal assay.

12 That's really the first thing to focus  
13 on in terms of being practical, because that's going  
14 to have some kind of downstream effect that's going to  
15 have some utility for what you're doing. Some of the  
16 MIEs that you identify are related to serum T4, some  
17 aren't. I think that to be practical is kind of  
18 useful here.

19 I also think that it's clear that there  
20 are many chemicals -- well, I can't really say many.  
21 I can't quantify that. But there are chemicals that  
22 can affect thyroid hormone action in tissue without  
23 affecting thyroid hormone levels in blood. That's a

1 deeper problem that is going to require a lot more  
2 effort.

3 **DR. JAMES MCMANAMAN:** Thank you. This  
4 charge question is now open for comments from other  
5 panelists. Hearing none, I'll go back -- why not?

6 **DR. KRISTI PULLEN FEDINICK:** I'll just  
7 ask one. I had one written down. I wasn't going to  
8 ask it because I wanted to shake things up a bit.

9 I guess the question was about -- and  
10 we talked a little bit about -- this is Krisi Pullen  
11 Fedinick from NRDC. We talked a little bit about this  
12 yesterday and I think it was just brought up in Dr.  
13 Furlow's comments as well, about the utility of the  
14 high, medium, and low. I think that there is some  
15 practicality to that to get to Dr. Zoeller's comment.

16 But then I also just wonder about the  
17 need for doing that -- maybe it just comes down to  
18 explanation of why it is that these are being  
19 prioritized in this way. But if you said for pendrin,  
20 and dual oxidase, and iodotyrosine deiodinase, those  
21 are low for the time being, but they should be  
22 included later.

23 Were there any of the other ones in  
24 here that you would say -- because the low were being

1 thrown out of the second figure with the AOPs, right?  
2 So, that was one definition that we had essentially,  
3 that these would not be looked at right now, and maybe  
4 not even in the future. Were there any that you would  
5 say that that should not be the case? Does that  
6 include those three in the biosynthesis in the  
7 thyroid? Does that include that alanine side-chain?

8 If we were going to throw out MIEs, are  
9 there any that you would say are okay being thrown out  
10 for the long-term? Or should all of these be included  
11 in the long-term? I guess that's the clarifying  
12 question.

13 **DR. J. DAVID FURLOW:** Well, from my  
14 perspective as a basic biologist, I'm kind of  
15 interested in all of them, right? And so both genetic  
16 models and pharmacological ways to manipulate their  
17 activity, and so I have sort of have a larger interest  
18 rather than the screening purpose.

19 Are they equal in their biological  
20 significance? They're all part of the pathway. So,  
21 pendrin has other effects, effects on hearing, for  
22 example. So, there is a syndrome that causes  
23 deafness, and why would -- I mean, it's an anion  
24 transporter.

1                   Some of them are categorized, I think,  
2                   would be in my mind a little bit lower because they  
3                   are broader. They have roles outside of thyroid  
4                   hormone -- so basically you just have to get the  
5                   iodide right across the basolateral membrane and get  
6                   it into the colloid. It does a good job, and you  
7                   would be hypothyroid if you didn't have pendrin, but  
8                   you'd also be deaf -- actually, that could be  
9                   independent from being hypothyroid.

10                   LAT1 is another transporter. MCT8 is  
11                   up there. Some of them are general amino acid  
12                   transporters that if you -- the knockouts, for  
13                   example, are not very healthy, so do you knock it out  
14                   specific -- you know. That's where I'm sitting on  
15                   that. Should they never be looked at ever? I guess  
16                   that's a stretch. I guess I wouldn't suggest the  
17                   Agency looks at low priority that way.

18                   I think there should be -- I guess one  
19                   thing to suggest -- and maybe I didn't frame it quite  
20                   the right way about high, medium, and low ranking --  
21                   was about, can you place that into a decision tree? I  
22                   think that was raised by one of the public commenters.  
23                   I don't know that that was right, you know? What does  
24                   it look like to be high, medium, and low in terms of

1 decision tree for testing? I think that's an  
2 important point. I don't have the answer, but I guess  
3 the recommendation would be that the Agency would be  
4 clearer on that. Would I throw anything away? Well,  
5 no.

6 **DR. JAMES MCMANAMAN:** I would like to  
7 see his garage. Dr. Zoeller?

8 **DR. THOMAS ZOELLER:** I'm going to  
9 respond to this also because I think it really goes to  
10 this issue of prioritization. I agree. I wouldn't  
11 throw anything away either, but when you look at the  
12 full constellation of data, for example, look at MCT8  
13 versus TPO. Humans that have an MCT8 defect have  
14 severe neurological deficits that cannot be overcome.  
15 Humans with the TPO deficit, at birth, are identified  
16 in a screening program and given thyroid hormone and  
17 they fall within a normal range of functionality.

18 In terms of mice, if you knock out  
19 MCT8, well, they don't really have a phenotype because  
20 they also have other transporters that can compensate  
21 for that loss. From the Agency's point of view, if  
22 you're going to use an assay for MCT8 and compare that  
23 to rodent studies, I think it's going to be

1 complicated. It's going to be asymmetric, so it's not  
2 going to match.

3 The Agency has to really look at those  
4 kinds of details -- I'm sure they do -- to prioritize  
5 what they're going to do. I also wouldn't throw  
6 anything out because all of these MIEs are important  
7 for the regulation of thyroid hormone action. I  
8 actually hate the word homeostasis because it doesn't  
9 really mean anything. I think that the Agency needs  
10 to be practical about what can be done sooner rather  
11 than later, and what the purpose of that is going to  
12 be.

13 **DR. JAMES MCMANAMAN:** Thank you. Dr.  
14 Clewell?

15 **DR. REBECCA CLEWELL:** Why not, right?  
16 I want to make a bit of a point here that maybe  
17 piggybacks a little bit off of what Dr. Furlow was  
18 talking about in terms of the complexity right now of  
19 the proposed AOP for thyroid, versus the overly  
20 simplistic view of the estrogen or androgen pathways.

21 I feel like that was important because  
22 actually probably what we need to do is titrate to get  
23 somewhere in between that to a happy medium. If I  
24 wanted to, give me two hours, and I'll make a diagram

1 like that for estrogen or androgen where there are  
2 just as many nodes. It's not saying that -- I know it  
3 was hard work and you did that over years, so I'm not  
4 trying to minimize that in any way. But for several  
5 years I've been mapping the estrogen pathway. I do  
6 have an AOP that looks very, very complex for  
7 estrogen. I think I'm publishing it. Anyway, but I  
8 may have already, I can't remember. I think I did in  
9 a review article.

10 The point is, though, our goal, I  
11 believe, as scientists moving towards an in vitro  
12 approach, is to say, use the principle of parsimony.  
13 How much do we need to include in order to get what is  
14 most important for the risk assessment decision? So,  
15 in the case of estrogen -- while I would personally  
16 like it to be more complex, and there are things that  
17 are not currently being considered right now that I  
18 would like to have considered, we do have most of the  
19 sort of key determinants of whether or not we're going  
20 to see a phenotypic response for the more common  
21 endpoints.

22 But, we haven't begun to address the  
23 fetal situation. We haven't begun to address the  
24 tissue specific situation with estrogens. Nobody

1 talks about bone. I don't know. I like the idea of  
2 having a tiered approach that the EPA has laid out  
3 here; where we have a prioritization of really high  
4 priority targets because we believe these are quickly  
5 going to get us to a screening approach for the kind  
6 of larger issue. Then we can move into the more  
7 specific responses, the more specific MIEs if we need  
8 to.

9           The kind of one thing I want to tag  
10 onto that, is if we look at what we have for estrogen,  
11 we have -- with whatever flaws may be there -- we have  
12 the nuclear receptor response and transactivation. We  
13 have steroidogenesis. We never talk about the fact  
14 that metabolism is just as important for any of the  
15 others as it is. I mean, estrogen is cleared through  
16 sulfation and glucuronidation. And certainly, we can  
17 disrupt estrogen hormone that way. We need to add  
18 that, but we have the first two.

19           We could have that same thing with  
20 thyroid and it would get us like 80 percent of the way  
21 there. If we had thyroid hormone synthesis, thyroid  
22 hormone metabolism, we would be just as far along as  
23 we are with estrogen. Unfortunately -- and I've just  
24 Googled it again. I Google it about every two months

1 to see if there is a thyroid hormone synthesis assay.  
2 So, there is not. I guess I'm pulling the same thing  
3 as Dr. Pennell did. There is a problem and I don't  
4 have the answer for it.

5           Wouldn't that get us a lot of the way  
6 there if we have five to 10 molecular initiating  
7 events that lead to a reduced thyroid hormone  
8 synthesis? What if we could measure thyroid hormone  
9 synthesis in a phenotypic assay? It would really  
10 reduce the anxiety about whether I had a pendrin assay  
11 and I had a TPO assay and I had a whatever assay.

12           That's my two cents on that. Not to  
13 minimize the complexity of the thyroid, because it is  
14 really complex. I was super impressed when I saw that  
15 AOP. Because I was like, man, a lot of work went into  
16 that. I wish more AOP networks like that were built.  
17 I just don't want to also get to the point where we  
18 have such complexity laid out on a scheme that we  
19 start thinking, man, we're going to have to have 342  
20 assays just for this one network is all.

21           **DR. JAMES MCMANAMAN:** Thank you. Other  
22 comments? Hearing none, I'll go back to the Agency.

23           **DR. SEEMA SCHAPPELLE:** I just want to  
24 thank the group and the panel for comments. Not just

1 on this section, but all day. And from yesterday as  
2 well. I think that really underscores our history of  
3 innovation within the EDSP and that's not intended to  
4 stop. I think this information that we've gotten  
5 really helps us with that, and doing that with the  
6 purpose for meeting the mission of the Agency,  
7 protecting human health and the environment. So,  
8 thank you.

9 **DR. SCOTT LYNN:** I want to reiterate  
10 what Dr. Schappelle just said. And I also, for this  
11 last charge question, I want to thank all the panel  
12 members for their input. It was very illuminating.

13 There are a few things that I do want  
14 to say. Number one, that AOP diagram was actually  
15 made by probably like 14 scientists at the EPA. It  
16 will be a publication that is coming out soon. I  
17 don't want to take credit for it. It wouldn't be  
18 appropriate for me to take credit for it.

19 There are a few other things that I  
20 wanted to make sure that I correctly heard. The  
21 ranking prioritization on MIEs, I heard positive  
22 feedback for that, but there was sort of a suggestion  
23 that it's more clear, or there is a decision tree that  
24 would be associated with that. Is that correct?

1                   **DR. THOMAS ZOELLER:** Yes, that's what I  
2 was thinking, yes.

3                   **DR. SCOTT LYNN:** Thank you. Then I  
4 would ask in the minutes, that are put together for  
5 this charge question, that you expound upon some of  
6 the things. The LAT1, the KLF9, getting that input  
7 will be highly valuable. I thank you for that.

8                   Also, addressing RXR ligands and their  
9 role, I think would also be very important to get that  
10 into the panel's response.

11                   I also heard a recommendation for  
12 neurodevelopmental assays and pursuing that. I wanted  
13 to thank you for that.

14                   Is there anything else?

15                   **DR. REBECCA CLEWELL:** Thyroid hormone  
16 synthesis.

17                   **DR. SCOTT LYNN:** Yes. Thank you. I'm  
18 not going to stop this meeting from ending. Thank you  
19 very much.

20                   **DR. JAMES MCMANAMAN:** I guess that  
21 completes the charge questions. At this stage, what  
22 we typically do is go around and if there are any last  
23 comments. I think I'll start.

1 I want to really thank the Agency for  
2 some very clear presentations on some very complex  
3 materials and for giving me new insight into how to do  
4 some kinds of assays that I think this -- I can't say  
5 the word yet -- the Mahalanobis assay. I think it has  
6 a lot of equitability and I know the statisticians and  
7 mathematicians, or whatever they'd like to call  
8 themselves, think that it's a general assay, but I  
9 have never heard of this before. I think it's very  
10 cool. I thank the Agency for that.

11 I think you guys are really on the  
12 right track in developing your high-throughput assays,  
13 and think that you're very close to -- at least from  
14 my perspective -- you're very close to having those  
15 very workable. It seems like you made a lot of  
16 progress there since the last meeting that we had.

17 With that I'll thank you again. It was  
18 a very nice presentation and very informative.

19 Dr. Barr?

20 **DR. DANA BARR:** Thank you. It was  
21 great presentations. I learned a lot. Sorry I didn't  
22 have a whole lot to add to it as this is not my real  
23 area of expertise. But very great job doing this, and

1 it's good to see that you're moving forward with  
2 alternative assays.

3 **DR. MARION EHRICH:** I thought your  
4 document was well written and actually quite easy to  
5 read, which I appreciated very much. And the  
6 presentations, of course, were good. I have to give  
7 you compliments for attacking that thyroid problem,  
8 because that's going to be a really hard one. That  
9 you actually made a step in that direction is  
10 appreciated.

11 **DR. JOSEPH SHAW:** It's starting to  
12 sound like a cabinet meeting here. I just want to  
13 second what everyone said, and thank you again for the  
14 completeness of what you've put together.

15 **DR. SONYA SOBRIAN:** Hi. I'd like to  
16 thank you for all of your hard work and to acknowledge  
17 the interagency cooperation that the document  
18 reflects. And as usual, I've learned a lot. Again,  
19 this is not really an area that I work in and I've  
20 really learned a lot. Thank you very much.

21 **DR. SUSAN NAGEL:** Yes. Ditto.  
22 Seriously, I appreciate the huge amount of time and  
23 commitment that you all put into this. I know it's  
24 not easy. I guess my one request would be going back

1 to looking at the sensitivity of some of your assays.  
2 I would just encourage a little bit more rigorous  
3 comparison of sensitivity, and perhaps a little bit  
4 more transparency around that. Thank you.

5 **DR. THOMAS ZOELLER:** I agree with  
6 everybody.

7 **DR. GRANT WELLER:** I would just like to  
8 also thank the Agency for the clear presentations. I  
9 guess I learned a lot in addition to maybe Dr.  
10 McManaman knowing the difference between a  
11 mathematician and a statistician. I think some of the  
12 work presented here today -- without knowing the  
13 biology behind it, it's a really cool example of using  
14 new technology, and data, and smart data science to  
15 create a lot of value and be able to do things more  
16 efficiently and using lower resources. That's really  
17 impressive.

18 **DR. KRISTI PULLEN FEDINICK:** I really  
19 appreciate you putting up with my questions and  
20 comments and things like that. I really think that  
21 the Agency is on the right track and you have some  
22 really beautiful and powerful tools at your disposal.  
23 I appreciate the opportunity to be able to offer  
24 insight and input into those, and so making this a

1 public process I think is really helpful. Just  
2 continuing to do good work and ensuring that the tools  
3 are ultimately protective of public health. I just  
4 appreciate this opportunity.

5 **DR. EDWARD PERKINS:** I just reiterate  
6 what everyone else says. I think you did a great job.  
7 The documentation is very helpful, especially the  
8 introduction of the accessing documents through HERO.  
9 That really facilitated in looking at some of the more  
10 obscured documents to kind of track down and make  
11 sense of some of the things that were going on. Thank  
12 you very much.

13 **DR. REBECCA CLEWELL:** I should also say  
14 thank you because I've had a lot of comments, and you  
15 guys take them all very patiently. I think what I  
16 would like to say is that I'm 100 percent in support  
17 of moving these assays forward. And while I might  
18 have some tough questions, it's because I'm thinking  
19 critically about it. I want to make sure they are  
20 very strongly supported, because I'd like to see them  
21 used more broadly in the community and ultimately  
22 towards a risk assessment purpose.

23 I think the steps that are being made  
24 here, what has been done with estrogen, and what is

1 beginning to happen with steroidogenesis and even  
2 thyroid and androgen, is -- every move forward is a  
3 good move forward for us. As long as it is in the  
4 public health interest. I do believe that the assays  
5 that we are discussing today are.

6 I also appreciate all of the work that  
7 went into that, because that document was enormous and  
8 very well documented. I cannot believe the amount of  
9 documentation that we were given, so I know that that  
10 was a tremendous effort. Thank you all.

11 **DR. MICHAEL PENNELL:** I'd like to  
12 commend the EPA for their hard work and I'd also like  
13 to thank them for developing new technologies which  
14 presents new statistical problems and keeps us busy  
15 and employed. Thanks.

16 **DR. IOANNIS ANDROULAKIS:** For me, this  
17 was the first time that I had the opportunity to  
18 participate in a panel like that, I have to say it was  
19 like a great and very impressive experience. So,  
20 thank you.

21 **DR. SCOTT BELCHER:** I would pretty much  
22 say, ditto, to everything else that has been said.  
23 But I'd also like to commend the Agency on its  
24 mobility and its embracing new technologies and

1 approaches for toxicological testing. This has been  
2 one of the -- getting stuck in previous technology and  
3 assays that have been validated, et cetera, et cetera,  
4 and the slowing pace that does not embrace current  
5 science. I appreciate these efforts.

6 **DR. VERONICA BERROCAL:** I wanted to  
7 thank you, first of all, for giving me the opportunity  
8 to be on this panel. I always appreciate coming to  
9 these panels and see actually public health in action  
10 as I like to think about it. I also really want to  
11 commend everybody for the great work. The creativity  
12 that you guys have is just amazing and you do science  
13 that encompasses all different disciplines, so that's  
14 really great to see.

15 **DR. J. DAVID FURLOW:** I want to spend  
16 about 15 minutes on these thyroid organoids if we can  
17 -- maybe after, right. A beer may be good.

18 I do want to thank the Agency as well.  
19 Just one thing that maybe you've heard, and maybe you  
20 haven't. To me this particular program -- it may be  
21 true of all the Agency science I hope -- but it's an  
22 opportunity to have basic scientists, academicians,  
23 interacting with folks that do very applied and very

1 sort of -- very public-facing work. To me, what's  
2 exciting about it is that it informs my basic science.

3 There are ideas and challenges that are  
4 put forth by the Agency to develop assays and to think  
5 about prioritization, and what's important and what's  
6 not important, what do the genetics say, what do the -  
7 - I think that's a -- maybe it's all obvious to all of  
8 you. But to me it's something that I've really  
9 appreciated over the years, is being able to interface  
10 in that way because it's helped my basic science and  
11 my excitement about what I do and why I do things. I  
12 just wanted to point that out. Thanks.

13 **DR. TODD PETERSON:** I would just like  
14 to acknowledge that as a FACA event, we have members  
15 of the public who have been on the phone and in the  
16 room and present, and I'd like to thank them for  
17 listening in. I also want to thank the commenters for  
18 their contributions. It appears that they have been  
19 heard, and supplemental materials that have been  
20 brought to our attention during the meeting will  
21 certainly go into the docket.

22 In the process of assembling the  
23 expertise and the diversity of disciplines and  
24 whatnot, it's been interesting for me. I think that

1 we have had the appropriate mix of ad hoc and  
2 permanent panel members to contribute to a good a  
3 robust dialogue and a collaborative conversation. I  
4 thank you for coming far and near to be here for this.

5 I may have forgotten something else. I  
6 apologize for that. But if nothing else, if the chair  
7 -- which I'd like to thank Dr. McManaman for being the  
8 chair -- if he concurs, then we can draw this meeting  
9 to a close.

10 **DR. JAMES MCMANAMAN:** I concur. I  
11 would like to have all the panelists in our breakroom  
12 for our short meeting, post-meeting wrap-up. Thanks,  
13 everyone.

14 **[WHEREAS THE MEETING WAS ADJOURNED]**

15 \* \* \* \* \*

16